

1 to be a long-term effort. So, we built that in. We
2 actually have the framework of a plan very much just like
3 the teams I outlined. We go through safety issues,
4 labeling issues, boundaries issues, enforcement issues,
5 science-based issues, added an outreach component,
6 recognize that we have to clearly have an ongoing
7 dialogue with the community. We have just recently
8 announced that we are establishing a special advisory
9 committee or really a subcommittee of our umbrella food
10 advisory committee.

11 The vote is solely to dietary supplement
12 issues. So, there is a public forum with a science focus
13 to help us with these areas.

14 I am not going to go through all the elements
15 of the plan. It really incorporates a lot of things I
16 said. We have to get our adverse event reporting system
17 in shape. We need to come out with GMPs and we are very
18 close to doing that.

19 We need to be sure a kind of sleeper provision
20 of the law is that everything has not yet been invented
21 and when there are new dietary ingredients, you need to
22 come to the FDA prior to marketing. So, that is the one

1 area where we have a premarket function more like drugs
2 or devices; whereas, everything else is postmarket.

3 I think one mistake that was made early on in
4 retrospect and it is easy to say it, if I was there, I
5 can't honestly say I would have figured it out then, so
6 this is clearly 20/20 hindsight, but one mistake that was
7 made was because there was so much emphasis when DSHEA
8 was passed that is a law by large that companies can
9 market without going through the FDA, that there didn't
10 need to be any FDA staffing to deal with the issues.

11 It was viewed as what I call a low maintenance
12 law and, yet, I can tell you as director of the food
13 center, except for food additives, like a new sweetener
14 or something, you know, we have a postmarket law. We
15 have a whole center devoted to the oversight and
16 regulation of those products, to ensure the same thing,
17 the safety of them, the labeling of them, the composition
18 and quality and purity of them.

19 So, it may not be a premarket law. It may be
20 what I call a postmarket law. But it does mean it is a
21 low maintenance law in terms of government oversight.
22 That is, I think, a cardinal misperception that I think

1 surrounded it at the time.

2 So, we put this out. Interestingly, sometimes
3 you get enough visibility. We got a request from
4 Congress that said, okay, you have got your plan. What
5 will it cost to implement it? So, if you can patient and
6 not finish up your commission work in the next couple of
7 week, we do have a congressional request for a submission
8 on what it will take to implement this and we are in the
9 stages of completing that.

10 But I can tell you it will be in the tens of
11 millions of dollars. It won't be in the one or two,
12 three thousands of dollars, which some people think of
13 the FDA. It really depends on dollars, what you think is
14 big or small. In the FDA that is viewed as sizeable and
15 at other places maybe not. But it will be for us a
16 substantial program to implement.

17 I think the one other area I want to emphasize
18 and I have already done it a little is the area of the
19 science base. It is in the plan, I think, quite
20 admittedly, the area that is most general and least well-
21 focused. It is also the most important. When I go out
22 and give talks on this, I say the long-term success of

1 this area depends on the successful implementation of
2 that section.

3 We are building bridges with NIH through Office
4 of Dietary Supplements that are for complementary and
5 alternative medicine. We have just got in some what I
6 will call year end funding and have initiated a contract
7 with the Academy of Sciences to start doing some
8 retrospective reviews of some key ingredients out there,
9 for what is out there in the literature.

10 We are trying to stimulate research and
11 engagement on the scientific aspects of these products
12 and think that that is critical, but we also admit it is
13 still for us, I think, in the early stages. We want to
14 be very sure that we are not duplicating what other
15 people are doing, but taking advantage of what other
16 people are doing and kind of bringing that to bear.

17 So, I think that is very important. I think
18 the last thing which we I think probably don't feel real
19 good about, but it is, if you will, the reality of what
20 life is. Since this was a program, like so many laws
21 that were authorized but not appropriated, somebody
22 mentioned that earlier and since it was an area where FDA

1 virtually had no prior staffing and since now more and
2 more much of our money is earmarked, we have, you know,
3 really a very small group of people that we are able to
4 dedicate to these tasks.

5 So, what we have said is we will put forward
6 what I will call the real funding requests. In the
7 interim, we will say each year for our center generally
8 we have put out a priorities list of this is what are our
9 goals for the year. We will be very clear on what we
10 feel we can accomplish this year and put that front and
11 center so that people know this is what we are doing and
12 also you kind of know implicitly what we are not doing.

13 Do we feel great about that? No, but at least
14 we have gotten feedback. At least we know. So, we are
15 moving forward literally, you know, as best we can, but
16 realize that progress will be incremental until we can
17 get a dedicated program to focus on this.

18 I see my time is up. I am, at this point,
19 happy to say I am finished.

20 DR. GORDON: Thank you very much.

21 Shall we go around? I am sure there are a
22 number of questions and we really appreciate your giving

1 us a feeling for the work, both of you, the kind of work
2 that you are doing and some of the issues that are coming
3 out.

4 Did you want to begin, Wayne?

5 DR. JONAS: Again, we are focused on science
6 here and research and we have heard a lot about evidence
7 and levels of evidence really all morning and this
8 afternoon. I am wondering is there any thought or any
9 discussion of using what I heard you say, Dr. Feigal, on
10 the sliding scale approach that is used for devices in
11 terms of looking at safety and risk and then adjusting
12 one's type and amount of evidence for approval for that,
13 which is what I heard.

14 Correct me if that is incorrect, but has there
15 been any discussion of that in some of these other areas,
16 many of which are thought to be relatively safe, may or
17 may not be. It may be that there are things that are not
18 so safe when they are used within the context of other
19 types of therapies and this type of thing.

20 But is there any thought of approaching that?
21 My sense is that there really is a difference in approach
22 between different sections of the FDA, as well as others

1 in terms of that. I think that is crucial when it comes
2 down to deciding, well, what do we mean by science-based?
3 What scale are we using? Is it a sliding scale? Is that
4 appropriate for the particular topic that we are trying
5 to address?

6 DR. FEIGAL: That is a very good question. One
7 of the reasons the approaches are different is that we
8 have multiple sets of laws and different approaches were
9 taken at different points in time and different features
10 were added.

11 The device paradigm is actually not a sliding
12 scale. It is a sort of a step scale and when a product
13 is classified as a Class I device, the evidence that is
14 required is what is known as general controls. General
15 controls simply consist of having a well-manufactured
16 product that meets certain product that meets certain
17 manufacturing standards and the reporting system for
18 detecting adverse experiences and corrective actions and
19 plans and being willing to submit to that.

20 Class II is the middle level, where there are
21 special controls. Now, sometimes the special controls
22 are engineering. Other times, they are clinical. They

1 are really done very much case by case. There are some
2 Class II's where some clinical evidence is needed. It
3 really depends on the device, whether the issue is going
4 to be safety or the issue is also effectiveness.

5 You have to remember when you are dealing with
6 a predicate, you are really trying to establish that you
7 are substantially equivalent to another device, but it
8 has to be for the same claim and that is where we get
9 into some of the difficulties because in addition to
10 having complementary and alternative devices, we also get
11 some alternative claims, if you will. We get some claims
12 that have never been made before or that are made in a
13 way that is harder to verify.

14 For example, you will hear products, I don't
15 know if a device has this claim, but I have heard this
16 claim probably for dietary supplement in Joe's world, a
17 claim of promoting something for prostate health. Well,
18 what is the endpoint for prostate health?

19 So some of the discussions when you engage us
20 are, well, what would be demonstrable as scientific
21 evidence that would allow you, you know, to provide some
22 evidence that the product did something like that? But

1 the interesting thing about the device world is that the
2 evidence is done on a case-by-case basis and on whether
3 or not you are trying to establish that you should be
4 marketed because you are an alternative to something,
5 which is already legally marketed or whether you are
6 something that is novel.

7 The difficult thing for devices is that the way
8 the law was written, novel things became automatically
9 Class III, which required a PMA of the highest standard.
10 The relief for that was that in the modernization act,
11 they allowed a reclassification process for something
12 that didn't have a predicate, so that it could be
13 introduced through a process called the de novo process
14 at a lower classification, much like Class II.

15 DR. JONAS: I guess maybe I misunderstood or
16 perhaps I misdirected my question. My question was: Is
17 something like this, okay, step programs, sliding scale,
18 what ever you call it, been discussed as a potential
19 approach to dietary supplements?

20 MR. LEVITT: Well, I think No. 1, in terms of
21 dietary supplements, since most products do not come to
22 the FDA, only the new ones after the law was passed and

1 we got, what, about 15 or 20 of those maybe a year. That
2 testing is all done totally outside of our purview. So,
3 it hasn't been felt to us that it is our necessarily
4 first job to go out and try and establish what testing
5 ought to be done in those cases.

6 We are looking at that area for new ingredients
7 that do come to us, and I really feel we need a little
8 more experience with what we have got. The legal
9 standard is, it can't be a significant or unreasonable
10 risk, but of course that is a very judgmental standard.

11 So I think we need to learn some by experience,
12 but ultimately one of our items is to come out with
13 guidance on what is the testing needed for that.

14 DR. JONAS: Do you think it is possible to come
15 up with some kind of stepped approach based on safety
16 and efficacy?

17 MR. LEVITT: Oh, sure.

18 DR. JONAS: Similar to what goes on in devices?

19 MR. LEVITT: I mean, logically, the answer is
20 yes.

21 DR. GORDON: Tom, and then Joe.

22 MR. CHAPPELL: I am delighted to hear all I

1 have heard. Thank you very, very much. It is really
2 wonderful.

3 The strategic plan, page 13, looking for
4 partnerships for two-way cooperation. I really
5 appreciate that aspect. Again, very attitudinal and
6 cooperative.

7 I wanted to ask you, though, if you could give
8 us a little bit more about your vision for being science-
9 based, as you progress in your goal to effectively
10 implement this as law because I just want to say the
11 reason DSHEA exists is because it is a place that
12 occupies a reasonable zone, short of a drug.

13 Could you, within that area of sensitivity,
14 just explain your vision for being more science-based?

15 MR. LEVITT: Well, I think the vision very
16 generally is to be sure that there is, you know, a
17 reasonable kind and amount, as you say, sliding scale for
18 the kind of product, that is enough to convince consumers
19 that the safety is there, that the claims are
20 substantiated. That may be overly simplistic, but I
21 think that is all that is being asked for.

22 MR. CHAPPELL: We know how much of a given herb

Adverse effects

Drug interactions

302

1 to put in as a dose and we know that that is based on a
2 great deal of research done by others. Is that what we
3 are --

4 MR. LEVITT: I will take off my government hat.
5 If I am a consumer going and buying this, I want to know
6 or have the belief or have the confidence that somebody
7 has done the testing, showing that the safety is
8 reasonable, showing that the claims, whatever it says is
9 at a high likelihood that that is going to help me for
10 those purposes, but there is testing behind that.

11 To me, without getting into the drug standard
12 of adequate and well-controlled trials and the device
13 standard of balanced scientific evidence and all of that
14 I think it really is what is scientifically persuasive
15 and applicable in that place for that kind of product.
16 You have products that have a history of use. Then you
17 know you are going to have different and lesser needs
18 than in other places.

19 DR. GORDON: Okay. Thank you.

20 Joe and then Charlotte.

21 DR. FINS: Dr. Feigal, I want to follow up on
22 the issues of safety and the tracking issue of an

Adverse effects
Drug interactions
302

PERFORMANCE REPORTING
Silver Spring, Maryland
Phone: 301.871.0010 Fax: 301.871.0020

PHOTOCOPY
PRESERVATION

1 to put in as a dose and we know that that is based on a
2 great deal of research done by others. Is that what we
3 are --

4 MR. LEVITT: I will take off my government hat.
5 If I am a consumer going and buying this, I want to know
6 or have the belief or have the confidence that somebody
7 has done the testing, showing that the safety is
8 reasonable, showing that the claims, whatever it says is
9 at a high likelihood that that is going to help me for
10 those purposes, but there is testing behind that.

11 To me, without getting into the drug standard
12 of adequate and well-controlled trials and the device
13 standard of balanced scientific evidence and all of that,
14 I think it really is what is scientifically persuasive
15 and applicable in that place for that kind of product.
16 You have products that have a history of use. Then you
17 know you are going to have different and lesser needs
18 than in other places.

19 DR. GORDON: Okay. Thank you.

20 Joe and then Charlotte.

21 DR. FINS: Dr. Feigal, I want to follow up on
22 the issues of safety and the tracking issue of an

1 approved device that goes to market and then is being
2 used for an indication, with perhaps a sicker or more
3 vulnerable population for an indication that was never
4 intended for it to be used. How does that get onto your
5 radar screen?

6 It is an especially, I think, complicated
7 problem because of the federalism issue and what the
8 states regulate, practitioners and you guys regulate
9 devices and some of these practitioners may not be
10 licensed. So, who is regulating that off-label, or that
11 is probably the wrong word, for the use of these
12 technologies?

13 DR. FEIGAL: No. Off-label isn't bad. I mean,
14 that is a fairly good description of what some of these
15 uses are.

16 We hear about things in a number of ways.
17 There is a common reporting forum for adverse experiences
18 for all products. So, we hear about some things for Med
19 Watch. We will often hear from practitioners, who are
20 upset about something going on in their community and
21 will report something.

22 If someone has an approved device for which

1 they have collected evidence and someone is marketing
2 something without clearance or approval, often they will
3 refer that to us, particularly if there is advertising.
4 Often, advertising will be brought to our attention by
5 our own scanning advertising or increasingly difficult
6 problems trying to watch the web.

7 So, there is a variety of different ways that
8 these issues come in and then we do have to go through
9 the process of deciding is this something that is being
10 promoted by the manufacturer? Sometimes there is a
11 distributor that has put together a new use for a product
12 and is promoting the sales based on that.

13 Sometimes it is a practitioner. So, the
14 overlapping jurisdiction does make it difficult, but more
15 often than not it is sort of does fall to us and then we
16 try and do follow-ups through our field staff, through
17 warning letters. If we feel there is an eminent danger
18 or safety problem, we have the authority to seize and, in
19 other words, promptly remove products from the market.

20 DR. GORDON: Charlotte.

21 MS. KERR: I find your presentation to just be
22 incredible and also the challenge you have. I respect

1 the work you have done, all of you.

2 At the same time, I am very perplexed and I
3 want to go to your job, Dr. Levitt, specifically as your
4 focus as director of food safety and talk more about food
5 and not so much about the dietary supplements at the
6 moment. I think I want to talk at almost, I don't know
7 what level, but a third grade level and I want to say,
8 number one, what is the definition of "food"? I want to
9 say something about what our homework is here tomorrow,
10 in particular, which is to talk about a world view that
11 informs what we are about and historically what has
12 happened as we moved through in healing and science.

13 I look at your example of Olestra that was
14 submitted and how it was put forth as an example of the
15 center's commitment to maintain food safety. I know this
16 is an enormous conversation, just as I think food is
17 probably the basis for all of our conversations here in
18 healing, all the basic elements. Water is the basic
19 nutrient, which is a food.

20 I wonder how does world view inform what you
21 are doing? For example, issues that are coming up in
22 food, like Olestra, you know, how is that a food? What

306

1

1

1 if we had an ecological paradigm? I don't know that we
2 would be able to put Olestra into the paradigm as a food.

3 I wonder about the issues of the Franken [ph]
4 food issues in Europe, you know, and seeing how you are
5 so committed and all of us here honorable in our endeavor
6 to restore and maintain the vitality of Americans, how
7 are we thinking about food?

8 How do you do that?

9 MR. LEVITT: I have another whole presentati
10 on that.

11 DR. GORDON: We may ask you back again to do
12 it.

13 MR. LEVITT: That would be fine.

14 DR. GORDON: Because it is, obviously, a really
15 important subject.

16 MR. LEVITT: I think, number one, is that I
17 think increasingly, although I suspect over time there
18 are blips up and down, depending on what is visible ont
19 he horizon, but at least in the decade of the mid to late
20 1990s, the whole issue of food safety has become just a
21 paramount concern.

22 MS. KERR: Absolutely.

1 if we had an ecological paradigm? I don't know that we
2 would be able to put Olestra into the paradigm as a food.

3 I wonder about the issues of the Franken [ph]
4 food issues in Europe, you know, and seeing how you are
5 so committed and all of us here honorable in our endeavor
6 to restore and maintain the vitality of Americans, how
7 are we thinking about food?

8 How do you do that?

9 MR. LEVITT: I have another whole presentation
10 on that.

11 DR. GORDON: We may ask you back again to do
12 it.

13 MR. LEVITT: That would be fine.

14 DR. GORDON: Because it is, obviously, a really
15 important subject.

16 MR. LEVITT: I think, number one, is that I
17 think increasingly, although I suspect over time there
18 are blips up and down, depending on what is visible on
19 the horizon, but at least in the decade of the mid to late
20 1990s, the whole issue of food safety has become just a
21 paramount concern.

22 MS. KERR: Absolutely.

1 MR. LEVITT: The incident that happened in the
2 Pacific Northwest about 1993 in the Jack-in-the-Box with
3 the hamburger, where several kids went in for a hamburger
4 and came out not alive, that was a watershed event. I
5 call it the thalidomide of food safety.

6 That has brought about really very
7 revolutionary changes throughout the food safety systems
8 and has brought the whole issue of pathogens and food
9 safety from microbiological hazards very much to the
10 fore; whereas if you went back a decade before, there was
11 much more focus on chemical hazards. You remember alar
12 in apples and pesticides and cancer, quote, causing
13 agents in the food supply.

14 But I think the world view is that consumers
15 have a very high expectation that food is safe. That
16 doesn't mean absolute safety, but it is a pretty high
17 degree. You see this a lot with the foods derived from
18 biotechnology. We ourselves question why is this so
19 accepted in medicines, some of the real breakthroughs,
20 but not in foods. One of the responses we get is where
21 is our benefit. You know, if you don't need to use
22 pesticides, that is a farming benefit. That is not a

1 consumer benefit.

2 We have a very high expectation for we want
3 foods to be safe. That doesn't mean we know they will be
4 but that is our expectation. So, we had a whole series
5 of programs designed to, you know, come as close to there
6 as we can. Well, at the same time, the CDC puts out
7 estimates that for food-borne illness we are seeing 76
8 million cases of food-borne illness a year, 300,000
9 hospitalizations, 5,000 deaths. I mean, those are
10 compelling and sobering statistics, which has launched
11 really an entire farm-to-table war on pathogens by the
12 Federal Government, state government, private sector,
13 consumer groups. Everybody has been thoroughly involved.
14 But it starts with a very high expectation of safety.

15 I know we are over. I want to bridge into the
16 previous discussion because I think one of the areas that
17 with experience we need to hone in on more is what is the
18 expectation of safety for dietary supplement products.
19 For Olestra, for a food additive, which Olestra is by
20 law, the standard in the law is a reasonable certainty of
21 no harm. That is viewed as a very tough standard to meet
22 to give that high assurance.

1 The standard in DSHEA is a different standard.
2 I suspect it was not a coincidence of what is called the
3 significant or unreasonable risk. What exactly does that
4 mean? The law doesn't tell us. What is reasonable in
5 the context of a dietary supplement that people go and
6 choose to have, as opposed to, if you will, ubiquitous
7 within the food supply.

8 These are questions we are going to have to
9 come to grips with as we move forward in order for
10 dietary supplements to help answer your question, which
11 is how much is enough? What is the degree of confidence
12 and safety that is needed? To what extent do benefits
13 play? Our statute really is silent on benefits for
14 dietary supplements. It speaks only in terms of safety.
15 It does not speak in terms of benefits or effectiveness
16 or any of those terms.

17 Yet, they are promoted for a utility of some
18 kind and consumers want to know what that is and does
19 that affect our safety assessment or not? There are
20 different views on this, I can assure you. But I think
21 in terms of world view of food safety, very high
22 expectations and the need to define and understand where

310

1 within that are dietary supplements.

2 That is the best I guess I can give you in a
3 short version.

4 DR. GORDON: Charlotte, I really appreciate
5 your having brought this up. My feeling and I sense the
6 same thing around the table is that we would really like
7 to continue this conversation as time goes on in future
8 sessions. Because I know we can't cover it all now and
9 we really so much appreciate your being so present and
10 forthcoming in bringing us the information and engaging
11 in this dialogue.

12 We would like to both ask for any thoughts y
13 have in the interim and then we would like you to come
14 back and work with us again. I am not sure whether it
15 going to be public information or at one of the sessic
16 if that is okay.

17 MR. LEVITT: Whatever is your pleasure, we will
18 do our best to help you in your mission. Your mission is
19 hard enough. We will do whatever we can to help you.

20 DR. GORDON: Thank you very much.

21 I suggest we stretch for about three minutes
22 while the next panel comes and sits.

1 within that are dietary supplements.

2 That is the best I guess I can give you in a
3 short version.

4 DR. GORDON: Charlotte, I really appreciate
5 your having brought this up. My feeling and I sense the
6 same thing around the table is that we would really like
7 to continue this conversation as time goes on in future
8 sessions. Because I know we can't cover it all now and
9 we really so much appreciate your being so present and
10 forthcoming in bringing us the information and engaging
11 in this dialogue.

12 We would like to both ask for any thoughts you
13 have in the interim and then we would like you to come
14 back and work with us again. I am not sure whether it is
15 going to be public information or at one of the sessions,
16 if that is okay.

17 MR. LEVITT: Whatever is your pleasure, we will
18 do our best to help you in your mission. Your mission is
19 hard enough. We will do whatever we can to help you.

20 DR. GORDON: Thank you very much.

21 I suggest we stretch for about three minutes
22 while the next panel comes and sits.

1

[Recess.]

2

1 E V E N I N G S E S S I O N

2 DR. GORDON: We thank everybody for bearing
3 with the time. The information is very interesting.
4 There is a lot of it and through a little crossed
5 signals, we wound up going a little bit behind time.
6 Because of that, Dr. Winn, who has an appointment in a
7 little while, is going to go first and we will ask him a
8 few questions and then we will listen to the other three
9 speakers and ask questions after their finished.

10 So, Dr. James Winn.

11 **Session VI: Research in the Regulatory Framework**

12 DR. WINN: Thank you very much, Dr. Gordon.

13 My name is Jim Winn. I am the executive vice
14 president for the Federation of State Medical Boards of
15 the United States. I welcome the opportunity to meet
16 with you today. My position in this whole controversy
17 perhaps is a little different than any of the other
18 presenters that you have heard before or will hear after
19 in the fact that I represent the regulators and perhaps
20 not directly involved in any kind of research agenda.

21 Having said that, though, I think that what I
22 would share with you today might have some importance to

321

Wanna

1 you and the opportunity for you to ask questions to me as
2 a representative of all the medical licensing authorities
3 in the country, would be important also.

4 I had originally prepared a power point
5 presentation for you today and so at the last minute sort
6 of changed format. So, you have some handouts that
7 really reflect the previous presentation. I hope that
8 won't be too distracting. 321

9 What I would like to do is sort of skip through
10 the first part of that presentation, which basically lay
11 out the background for the Federation of State Medical
12 Boards. But I would like to highlight a couple of areas
13 and that is to reinforce the medical boards'
14 responsibility to the public. Wmm

15 That responsibility is to protect the public's
16 health, safety and welfare and to do that by assuring
17 that they are protected from unqualified and unfit
18 physicians.

19 This is accomplished primarily by assuring that
20 only qualified individuals are allowed to render medical
21 services to the public and that rules and regulations are
22 developed and standards are enforced for medical

1 you and the opportunity for you to ask questions to me as
2 a representative of all the medical licensing authorities
3 in the country, would be important also.

4 I had originally prepared a power point
5 presentation for you today and so at the last minute sort
6 of changed format. So, you have some handouts that
7 really reflect the previous presentation. I hope that
8 won't be too distracting.

9 What I would like to do is sort of skip through
10 the first part of that presentation, which basically lays
11 out the background for the Federation of State Medical
12 Boards. But I would like to highlight a couple of areas
13 and that is to reinforce the medical boards'
14 responsibility to the public.

15 That responsibility is to protect the public's
16 health, safety and welfare and to do that by assuring
17 that they are protected from unqualified and unfit
18 physicians.

19 This is accomplished primarily by assuring that
20 only qualified individuals are allowed to render medical
21 services to the public and that rules and regulations are
22 developed and standards are enforced for medical

1 practice. Then when it becomes necessary, to take an
2 appropriate action against a licensee in the interest of
3 the public's protection for grounds of unprofessional or
4 incompetent or unlawful practice.

5 The physicians have a number of
6 responsibilities if they choose to practice medicine. I
7 would just mention that perhaps the most importantly of
8 that is to maintain professional standards, to practice
9 within the ethical and practice standards of the
10 profession and to maintain professional behavioral in
11 conduct.

12 To do that, you have to know the Medical
13 Practice Act in the state in which you have chosen to
14 practice. Now, that sort of leads us into CAM and the
15 concerns of the regulatory bodies, at least those that
16 regulate physicians. There is certainly no argument
17 about the proliferation of complementary and alternative
18 medical practices. When we have a rapid proliferation
19 like that, there are certainly some concerns that get
20 raised about whether or not the public is being exposed
21 to unsafe practices.

22 Many of the practices and proposals, therapies

1 and so forth tend to lack scientific research or clinical
2 validation. There is not an easy way to determine
3 whether they are safe and effective for the public.
4 Unfortunately, there are some of these practices that are
5 outright fraudulent and deceptive in their nature.

6 There may be multiple reasons for the
7 proliferation of these therapies. On the one hand, I
8 think many people say, well, there has been a demand by
9 the public for these therapies. But there also perhaps
10 underlying some of this has been the willingness of
11 traditional practitioners to embrace some therapies,
12 particularly some of the marginal therapies because of
13 the effect of managed care on their financial status and
14 practices.

15 Also, I think, the technology situation, where
16 there has been a marked increase in technological
17 advances in medicine, has created a greater divorce
18 between patients and their practitioners, is something
19 that they really expect. They expect to have a
20 relationship that is lacking. In many cases they feel
21 that they have been disempowered in their own medical
22 care.

1 I think the medical boards basically are
2 concerned about adverse impacts on patients and,
3 unfortunately that is our job, to look at the adverse
4 side of it and try to make sure that doesn't happen.

5 There are three basic areas of harm that we
6 might identify. One is economic harm and that is
7 basically just where it results in a monetary loss but
8 there is no real health hazard, where the therapy is just
9 totally ineffective or has no reasonable expectation it
10 is going to help. But it doesn't harm anybody.

11 Indirect harm would be that which while not
12 providing harmful therapy, results in a delay of
13 appropriate treatment for a serious illness. Of course,
14 then direct harm, which would be directly linked to the
15 treatment provided the patient.

16 The federation in 1997 convened a special
17 committee that was called "The Special Committee on
18 Questionable and Deceptive Health Care Practices and the
19 objective of that committee was to provide guidance to
20 medical boards in evaluating, investigating and
21 prosecuting cases regarding questionable and deceptive
22 health care practices for which they had received

1 complaints about.

2 The report basically provided a guide for how
3 to assess both conventional and unconventional practices.
4 There was not a distinction between whether this was
5 alternative or complementary, but, in fact, any such
6 practice that was complained about would be evaluated in
7 sort of the same light.

8 The bottom line, as far as medical boards are
9 concerned, that what whatever the practice that is being
10 given or the therapy that is being given. The physician
11 must, in fact, abide by the rules of good medical
12 practice. There is not a release of that simply because
13 the doctor is providing a different type of treatment
14 than what has traditionally been provided.

15 In your notes, there are some lists of some of
16 the elements utilized in the evaluation of health care
17 practices. I am not going to spend time this evening on
18 going through those, although you might have some
19 specific questions later about that area. The federation
20 has moved forward after that research report that was
21 issued a few years ago.

22 This committee has continued to look at and

1 review on behalf of medical boards different practices
2 and to provide information about those practices so that
3 the medical board remained updated on current information
4 regarding practices that they were receiving complaints
5 about.

6 Now the federation will move forward to develop
7 guidelines to assist state medical boards in educating
8 and regulating physicians who use CAM in their practices.
9 In other words, we want to have the medical boards be
10 able to tell their licensees what the expectations are if
11 they are practicing in an integrated environment or co-
12 managing patients with licensed non-physician alternative
13 providers.

14 So, the objective of this would be to have CAM
15 utilized in a manner that is consistent with safe and
16 responsible medicine. We believe that those guidelines
17 will be substantially complete within the next six to
18 eight months and will be promoted to the medical boards
19 for their usage.

20 Now, part of the questions that were posed for
21 me from this group was does the medical boards or the
22 regulatory community view things, such as office-based or

1 practice-based research. I think that medical boards
2 will expect physicians who engage in research, either in
3 an institutional setting or a practice setting, to
4 fulfill their ethical responsibilities and they will
5 apply guidelines, such as those that are promoted by the
6 American Medical Association, that a physician may
7 participate in clinical investigations, only to the
8 extent that those activities are a part of a systematic
9 program, competently designed and under accepted
10 standards of scientific research, expected to produce
11 data, which are scientifically valid and significant.

12 There is this issue and I think it will become
13 a bigger issue for medical boards of the disciplinary
14 sanctions to be imposed against individuals who engage in
15 investigative fraud. Our counterparts in Western Europe
16 have already had to address these situations.

17 Four medical boards have already adopted rather
18 specific policies; Illinois, Kentucky, Nevada and Texas.
19 These are basically based on what I said before that the
20 physicians are obligated for good medical practice.

21 My time is running short. I won't dwell on
22 these other areas, except I would like to ask this group

1 to focus on our concerns and that is that we need, as
2 medical regulators, good solid information about
3 alternative and complementary therapies. Things like
4 chelation therapy, that has been around for a number of
5 years and as you know, has created a great deal of
6 controversy with medical boards.

7 Despite it being around for a long period of
8 time, there is almost no credible evidence that it
9 effective for what it is claimed to be. In fact, over
10 the past several years, the claims of effectiveness have
11 actually increased. What we need is good research that
12 would tell us whether or not this is effective because
13 quite honestly if it does what it is said to do, then
14 medical boards should be holding physicians responsible
15 for not submitting patients to that before more invasive
16 procedures are used.

17 If, in fact, it doesn't do that, then doctors
18 should be held accountable for using a therapy that has
19 no benefit but has the potential for patient harm. So, I
20 think that we would want you to focus first on a research
21 agenda that helped identify the effectiveness of those
22 therapies, which carry some risk to the patient, but if

1 they, in fact, are beneficial and the benefits outweigh
2 the risks, then they should be embraced.

3 If on the other hand they have no significant
4 likelihood of effectiveness and they have a likelihood
5 for patient harm, medical boards should hold physicians
6 accountable for exposing patients to that risk.

7 I have run out of time and I thank you for your
8 attention and certainly I will answer any questions.

9 DR. GORDON: Thank you very much, Dr. Winn.

10 Are there questions for Dr. Winn?

11 DR. LOW DOG: Do you have any idea of how many
12 complaints have actually been registered by patients
13 towards physicians, who are practicing integrative or CAM
14 therapies?

15 DR. WINN: No, I don't have that information
16 with me. The federation keeps track of actions taken,
17 but not complaints received by the different medical
18 boards. So, I don't have access to that information.

19 DR. LOW DOG: Do you have any idea how many
20 actions there have been?

21 DR. WINN: I don't have it with me, but we
22 certainly could go through that. I mean, I could do a

1 quick search of the database and let you know. But I
2 don't know off the top of my head how many actions have
3 been taken.

4 DR. GORDON: That would be great.

5 Wayne and then Conchita.

6 DR. JONAS: I really appreciate your comments.
7 I think there are a number of issues that you bring up in
8 terms of access. We hear access, access, access and
9 along with that has to go accountability. It is kind of
10 like safety and efficacy. They kind of go together. I
11 am surprised that some of the individuals involved in
12 complementary medicine haven't said wait a minute. I
13 don't know if we want to be integrated or not because
14 then evidence, accountability, scientific rigor, as well
15 as health care management will then become ours, which
16 may or may not be very useful.

17 I think it would be extremely interesting and
18 useful to try to at least get some input as to whether
19 there is a way in which some of the areas that you all
20 are concerned about, the specific practices could get on
21 the research agenda in terms of what public money ought
22 to be investing in, such as chelation therapy. I mean,

1 this thing has been floating about for years and we still
2 don't have information about it. So, you know, we talked
3 about how does the public get input, but I think it would
4 be extremely useful to figure out how can some of the
5 state regulators or some of the people that are out there
6 looking at these practices from a regulatory point of
7 view also be brought into helping in terms of the
8 research agenda.

9 That would be, I think, extremely useful, at
10 least for me and perhaps for the board. The other issue
11 that probably someone else will bring up is also how to
12 deal with a practitioner that is doing something that
13 perhaps us from a research point of view want to
14 investigate and, yet, it is considered a non-standard or
15 a fraudulent type of practice. You know, how do those
16 intersect.

17 DR. GORDON: Do you want to pose that as a
18 question?

19 DR. JONAS: I could pose that as a question,
20 although you could do it better. I mean, the issue is
21 is, you know, there is a practitioner that is using,
22 perhaps, some kind of unusual treatment that has claims

1 and wants to be involved in that and, yet, it would be
2 perceived from the state point of view as being
3 fraudulent practice and that person should be
4 disciplined. So, a lot of practitioners, of course,
5 would withdraw from participating in research because of
6 the perceived risk that that might then expose them to.

7 DR. WINN: Well, let me see if I can answer
8 that. I think that is sort of one of the objectives that
9 we want to accomplish with our committee in developing
10 guidelines is, for instance, if a research project is
11 going to be undertaken in this area and the individual is
12 not part of an institution. So, the institutional review
13 board is not involved.

14 How does that occur? Well, probably the
15 medical board should meet with that individual,
16 understand what the research protocol is and how this
17 will be accomplished so that the patient's safety is
18 involved. One of the things that all sort of gets mixed
19 up because some practitioners will tell you, well, I am
20 doing research, but then you look at it and the patients
21 haven't signed a consent form. They didn't know they
22 were part of a research project and they were paying for

1 therapy. So, that sort of begs the issue of really what
2 are you doing here.

3 I think it ought to be on the table, clear and
4 easy to understand for everybody involved and I don't
5 think a practitioner, who is legitimately doing research
6 is going to have any kind of problem.

7 DR. GORDON: I want to just follow up for a
8 minute and then come back to you, Conchita.

9 I am sorry you can't stay because I would have
10 loved for you to be able to hear some of the people who
11 are grappling with this issue. So, we will send you some
12 transcripts of what happens.

13 We have people who are trying to do research.
14 They are perfectly happy to have IRBs to constitute them
15 or get them, but they are in private practices and they
16 are lacking, sometimes they need help with expertise from
17 others. Sometimes they need economic health to pull
18 together the data or do the analysis.

19 I am wondering if you can think now or, you
20 know, in these next weeks and months of some ways to
21 facilitate this process so we don't get into a situation
22 that I know has happened, where people who are doing

RB

—

1 research that, you know, they fully intend to study,
2 sometimes they have IRBs, sometimes they don't have IRBs.
3 They are genuinely committed to the research. They are
4 not doing harm. There are no patient complaints and,
5 yet, they run afoul of state medical boards.

6 So, I feel we need to grapple with this really
7 in a very intimate way because it is a major problem. It
8 is a major problem for a small number of people, but our
9 thought has been that we might get even more interesting
10 research if we can figure out strategies for working with
11 us.

12 DR. WINN: Well, we would be happy to work on
13 that because I don't think that medical boards are
14 interested at all in being a barrier or obstruction to
15 legitimate research. What we are interested in is making
16 certain that the public and patients are protected. So
17 that consequently that is the number one priority and
18 then the second priority would be okay if you are
19 protecting patients, let's go ahead and figure out how
20 you can do the research, but you are not putting patients
21 at risk just because we need to know something. That
22 would be, I think, inexcusable for practitioners to do.

1 research that, you know, they fully intend to study,
2 sometimes they have IRBs, sometimes they don't have IRBs.
3 They are genuinely committed to the research. They are
4 not doing harm. There are no patient complaints and,
5 yet, they run afoul of state medical boards.

6 So, I feel we need to grapple with this really
7 in a very intimate way because it is a major problem. It
8 is a major problem for a small number of people, but our
9 thought has been that we might get even more interesting
10 research if we can figure out strategies for working with
11 us.

12 DR. WINN: Well, we would be happy to work on
13 that because I don't think that medical boards are
14 interested at all in being a barrier or obstruction to
15 legitimate research. What we are interested in is making
16 certain that the public and patients are protected. So
17 that consequently that is the number one priority and
18 then the second priority would be okay if you are
19 protecting patients, let's go ahead and figure out how
20 you can do the research, but you are not putting patients
21 at risk just because we need to know something. That
22 would be, I think, inexcusable for practitioners to do.

1 DR. GORDON: I appreciate your answer.

2 Conchita.

3 DR. PAZ: My question comes just to develop
4 that a little bit further, is how to get more states to
5 develop CAM policies. You just mentioned four here, but
6 that is such a pitiful amount that how can we provide
7 that more.

8 DR. WINN: We are an organization that has no
9 authority to make every state endorse that, but what our
10 history has been, for instance, with pain management and
11 so forth, that once we put out a very reasoned process of
12 policies and so forth, the states will, in fact, develop
13 that. So, what I would tell you that probably in the
14 next 24 months, you will see a significant number of
15 states have policies about CAM therapies.

16 DR. GORDON: Thank you.

17 Joe.

18 DR. FINS: It is the exact follow-up. I was
19 going to compliment the federation on its recent work on
20 pain management. One of the upshots of that, there has
21 been a lot of state medical boards that have actually
22 looked to pain experts to be on the boards to assess not

1 only do they have the therapeutics, but also concerned
2 with the research aspects.

3 Is that something that you would consider as
4 being a beneficial recommendation, to recommend CAM
5 participation on medical boards, you know, people like
6 Dr. Jonas, for example, to sit on a medical board in his
7 state to help you guys sort out whether or not --

8 DR. WINN: Certainly, and there would be no
9 objection to somebody of Dr. Jonas's statute, but the
10 process as you probably know is really politically
11 gubernatorial appointments that go to the medical board.
12 So, there are people who engage in CAM therapies, who
13 currently sit on medical boards. Many boards do have
14 practitioners who have practices primarily of a CAM
15 nature.

16 But I think the suggestion is appropriate that
17 medical boards should, in fact, have if not people on the
18 board, consultants available to them to assist in their
19 evaluation of cases and any kind of complaint that comes
20 forward.

21 DR. GORDON: Thank you very much. We really
22 appreciate your coming and we hope we will be able to

1 continue -- do you have your hand up?

2 DR. JONAS: I just want to follow-up on this
3 just a little bit. I mean, this is not necessarily a
4 solvable problem but the variety of opinions and types of
5 regulations that are in our states really make it
6 extremely difficult to come up with any kind of uniform
7 policy because there are states that have attempted to or
8 even perhaps will see successfully eliminated, the whole
9 regulatory aspect in terms of this. The Minnesota law,
10 for example, is a real issue in terms of what actually is
11 going to happen with that. So, there is wide variety of
12 attempts to address this.

13 DR. WINN: Right. I agree and that is the
14 reason we need the national effort that we are trying
15 to --

16 DR. JONAS: Right, and since this is a federal
17 commission, it would be extremely useful for us to think
18 how can we appropriately address that in a way that does
19 not interfere, obviously, with state rights in terms of
20 authority --

21 DR. GORDON: Thank you for coming and being
22 with us and we hope we will continue the dialogue.

1 DR. WINN: Absolutely, Dr. Gordon.

2 Again, my apologies to you and the Commission
3 for my requirement to leave early for another meeting.

4 Thank you.

5 DR. GORDON: Thank you.

6 Next is Dr. Floyd Leaders.

7 DR. LEADERS: Thank you very much for inviting
8 me to come.

9 We are going to have a little shift of paradigm
10 here and go just about as far to the other end of the
11 spectrum as we can get. I work for a profit-making,
12 hopefully, organization that is interested and committed
13 to bringing mechanical products through the FDA as drugs.
14 We are speaking to exactly what you said, why would
15 anybody want to do that.

16 The reason is very straight forward, that we
17 believe that botanical products, the natural products,
18 have much more potential than just for over-the-counter
19 products or products that can be sold in the DSHEA
20 marketplace. It would be very hard to sell a product for
21 the treatment of cancer in a food store. So, that is the
22 reason.

1 I was asked to speak on three different
2 aspects. The first one was how do the current
3 regulations impact on doing research on botanicals. Keep
4 in mind that what I call research, you probably would
5 call development. We are doing research within a
6 regulatory framework. They even have a name for it. It
7 is called regulatory science. That may be an oxymoron,
8 but it is, indeed, the environment in which we work.

9 Within that framework, there are several things
10 that have inhibited what we are doing from the private
11 sector purpose. The first of these, I will say is money
12 and I think you heard that from both Dr. Woodcock and Dr.
13 Levitt, particularly Dr. Levitt in the dietary supplement
14 area.

15 There are not enough resources to go around to
16 do the things they have to do. The other major thing,
17 however, is mind set. Now, this is particular for our
18 industry but I have a feeling it may bleed off slightly
19 into other areas also, that we have a very good example
20 here, the botanical guidance document, that Dr. Woodcock
21 talked about.

22 First, let me say that is a giant step forward.

1 I am extremely pleased to see that come out. We have
2 been working on it since 1994 and I am very pleased to
3 see it because now we have something we can look at. It
4 scares me a little bit that she says it is a cookbook.
5 We will come back to that later.

6 But mind set is extremely important in that
7 document. I don't know how many here have read it. I
8 have just spent the last week involved in more telephone
9 calls than I have any desire to be because we have
10 responses to get in by next Tuesday. But this particular
11 document is a very good start, but let me put it in
12 context of our group of people, that it basically takes
13 the new chemical entity paradigm and overlays it with the
14 botanical requirements, which some of them will be very
15 difficult to do and other ones will not be so difficult.

16 We can get into that more. I just want to hit
17 the high points and if you have specific things you want
18 to talk about, I am available, obviously.

19 The second one is how can research methods and
20 approach be expanded to address the safety and efficacy.
21 One thing that has not been mentioned here at all and you
22 will not hear it mentioned probably is to lever off a

1 previous human use. I can't say that strongly enough.
2 That is the one difference between the botanicals that we
3 are working with and other people are working with and a
4 new chemical compound as just been synthesized that has
5 never been in man before, women, too. Never been in
6 humans before.

7 But we need to learn how to evaluate human data
8 and with all due respect, the FDA does not have enough
9 personnel of the right kind to really know how to
10 evaluate that. We are still in the position of looking
11 at animals to predict human safety when we can't have
12 human safety in humans, the species of choice.

13 In the guidance document, I will use this as
14 the specific example, to get into the clinical trials,
15 you can get in fairly easily without a lot of the things
16 that are necessary. But by the time you come out at the
17 end of Phase 3 clinical trials to get NDA approval, you
18 have effectively done everything that a single chemical
19 entity has to do. We have thrown away all of the
20 knowledge we may have about how this works in humans.

21 So, my plea would be, and I will come back to
22 this, my plea would be to consider how the resources can

1 be brought to bear to allow previous human experience to
2 count. Maybe that can apply to other things as well.

3 Last is should changes of any be made to the
4 regulations? If so, what should they be?

5 The main one is, perhaps, proprietary,
6 intellectual property rights. I am going to offend some
7 people from other places around the table, but I don't
8 think even NIH has enough money to totally fund the
9 development of this whole group of products. It is going
10 to take private sector doing it. What motivates the
11 private sector to take risks is the chance to make a
12 profit off of it at some point. Whether they make too
13 much or not, I won't go there.

14 But there has to be some kind of return on
15 investment. I told you this was going to be a paradigm
16 shift.

17 We need to establish, if we can't get patent
18 protection, because you are here -- I am probably the
19 only person here not asking for money because I think our
20 industry has to fund this. If you have a way to give it
21 to a private company, I think we would take it, but I
22 don't really see how that can be done.

1 So, I am not asking for money. But I am asking
2 potentially for the impact you could make into some of
3 the regulations and some of the looking at these, that if
4 we cannot get patent protection on these products that
5 have been around for a long time, is there any way to
6 grant regulatory exclusivity that would allow a company
7 to justify making an investment of \$150-, \$200 million to
8 bring a product through all of the quality control, all
9 of the manufacturing, all of the research hoops that we
10 need to go through to really show safety and efficacy, so
11 they can't be beaten over the head.

12 The third thing, the change in mind set we have
13 already talked about. I want to talk about DSHEA. Now
14 that I have been involved with CDER, I will talk about
15 CIFSAN [ph]. There is a major impediment in the Dietary
16 Supplement Health and Education Act to inhibit research.

17
18 When the act was passed, they were looking at
19 these products as foods, an orange is an orange is an
20 orange. It may be green if it is from Florida. It may
21 be orange if it is from somewhere else. Botanicals are a
22 very weird breed. They are the group of products that

1 basically test the law. It is unfortunate because they
2 are manufactured like a biological in that they are
3 manufactured under heavy process control. They are sold
4 and advertised as foods, dietary supplements, by
5 congressional fiat. They are used by the public to treat
6 disease.

7 We all know that everyone here drinks coffee in
8 the morning because they like the flavor. You can't say
9 it stimulates because that would make it a drug. So,
10 that is just an example. The problem with botanicals,
11 and this is a four line sentence, the process defines the
12 product.

13 Under DSHEA, you can take data from a product
14 made by one entirely different process and use it to
15 support your product and that can be made by a different
16 way. It is like using data on grapes to justify wine;
17 quite different ways of looking at it. This has been
18 overlooked in the law.

19 I don't think the industry wants to go back and
20 revisit that because just like having a constitutional
21 convention, you are never sure what would come out. But
22 if you want to ensure research, give people some chance

1 of getting paid back for doing the research. It is that
2 simple.

3 Thank you.

4 DR. GORDON: Thank you very much.

5 Next will be Mr. Robert McCaleb.

6 MR. McCALEB: Thank you. I would like to thank
7 the Commission for inviting me to address you today.

8 I am going to start with just a brief response
9 to my friend, Floyd. He and I disagree a little on this.
10 When something has been used for many, many centuries for
11 particular medicinal or health beneficial use, it is
12 difficult to give the rights of exclusivity to any one
13 company for that. We don't believe that anyone should
14 ever have the right exclusively to make a claim for
15 ginseng or garlic or any one of the other 2,500 or so
16 herbs that are sold in this country, unless they can
17 actually invent something about it.

18 I also think that in terms of the application
19 of a claim to research, the research must support the
20 claim being made for that product; that is, if the
21 research is on a particular type of extract, I agree that
22 only that type of extract should be allowed to make a

1 claim that is based on that research.

2 Let me talk a bit about the state of nature
3 products research in the United States. In the last 40
4 years, we have essentially shut down natural products
5 research in this country. We have failed in the last
6 half of the last century to approve a single new drug
7 from plants unless it was produced as a single mono-
8 substance, such as Taxol, and even that had some
9 protection under the law to give it some exclusivity it
10 might not otherwise have had.

11 Because of that, these things fell into disuse
12 and because of that, they fell out of the pharmacy and
13 medical schools and out of the organizations that grant
14 money to do research. There is a cascade that has really
15 shut down the research and approval of botanicals in this
16 country for use in health care.

17 One of the tragedies there is that so many
18 botanicals are used for preventive medicine or for health
19 maintenance. In the absence of the ability of our Food
20 and Drug Administration to approve preventive medicines,
21 we really literally only have a few, things like
22 sunscreen and motion sickness pills. Those are really

1 two of maybe only four preventive medicines ever approved
2 for over-the-counter use by the FDA.

3 So, in the absence of approved preventive
4 medicines, we use dietary supplements for health
5 maintenance, for preventive medicines. DSHEA works and I
6 think that is one of the first things I would like to
7 point out as a part of my message is that there is more
8 research right now ongoing and more completed in the
9 United States or funded by American companies since the
10 passage of DSHEA than has been done in decades before.

11 Why is that? It works in exactly the same way
12 that it has worked in incentivizing European research.
13 The ability to make a claim on a realistic threshold of
14 evidence is what drives companies to do research and it
15 is also what drives the public sector to invest in
16 research as well.

17 So, we have seen in this country what we have
18 seen in Europe. Just the ability of a company to make a
19 claim or to achieve a claim without a \$200 million
20 expenditure has driven research so that companies can
21 make the claim to doctors and pharmacists that their
22 product is clinically researched.

1 In addition to research incentives, we now have
2 more informative labels on these products that give much
3 better information to the consumer about what the product
4 is intended to do, better third party information.
5 Although there is a confusing level of information out
6 there, there is certainly some very high quality
7 information that has been produced since DSHEA and
8 because of it.

9 We need to improve the path, I think, to
10 stronger claims. It has been suggested that one of the
11 ways to do that might be to allow the elimination of that
12 disclaimer on a DSHEA regulated label if a certain
13 threshold of evidence is achieved for it or the ability
14 to use certain types of research in advertising or
15 labeling a product.

16 If a company did research on its own product,
17 clinical research on its product, maybe it would be
18 allowed to make a stronger claim than a company that was
19 sort of piggybacking on that research or using what they
20 called borrowed science.

21 One of the ways to a stronger claim is over-
22 the-counter drug status. Now, this is something that was

1 a very strong recommendation of the Commission that I sat
2 on, the Commission on Dietary Supplement Labels. The FDA
3 did not take us up on that recommendation, but many
4 people within and outside the agency have praised the
5 model of the German Commission E, which was actually very
6 similar to our own over-the-counter drug review process.

7 That is, we had panels of experts, who sat and
8 discussed what they knew and what they seen in the
9 evidence on particular drugs and how they might be
10 approved. They approved those based on their opinions of
11 that evidence. There was no gold standard and in some
12 cases things were approved with not a single clinical
13 trial. We have a real mystification of that process in
14 the United States. Few Americans probably recognize how
15 little evidence was required to achieve over-the-counter
16 drug status.

17 But all dietary supplement products are sold
18 over the counter. They are not prescription products.
19 So, we think it is a legitimate model. I am not
20 suggesting that they be removed from dietary supplement
21 status, but only that there be a natural products OTC
22 panel empowered to look at natural products, pull in

PERFORMANCE REPORTING

Silver Spring, Maryland

Phone: 301.871.0010 Fax: 301.871.0020

1 experts in specific medical disciplines and make some
2 decisions about the safety and efficacy of the substances
3 sold now as dietary supplements that could then make
4 stronger claims as over-the-counter drugs.

5 I think we need to increase the focus on
6 traditional medicine, recognizing that DSHEA leaves that
7 out. Many of the forms of traditional or folk medicine
8 used in this country are based on historical, cultural
9 information or on energetic principles that don't really
10 fall within the scientific model that DSHEA embraces.

11 These are often less static than the European
12 phyto medicines that have become most of our dietary
13 supplements in this country, but they are used by more
14 people worldwide than any other form of botanical
15 medicine and they are used by most of our minority
16 populations; African Americans, Hispanic populations,
17 Asian populations.

18 As Dr. Federman said, anecdotal information can
19 be good. When you have anecdotal information, that
20 is, experiential information that comes from trained
21 observers over generation after generation of humans, you
22 have something more than just someone saying I think this

1 stuff helped me. You have a higher level of evidence. I
2 think that is what Dr. Leaders was alluding to also, that
3 we need a way of looking at historical information and
4 using it in our evaluation of efficacy and safety.

5 We need more international outreach in terms
6 of accessing the information from European and Asian
7 clinical practice. Remember now, some of the things that
8 are being sold in our pharmacies and grocery stores have
9 been used under physician supervision for decades now in
10 Europe. We need to get access to the clinical experience
11 that those physicians have and incorporate that into our
12 decision-making process, too.

13 There is also safety data from decades of
14 pharmaco-vigilance programs in Europe that so far we have
15 been unable to access. I don't know of anyone else who
16 has been successful there either. But I would really
17 love to see this group make an effort to get at that
18 pharmaco-vigilance data that every European country
19 requires of its phyto medicine manufacturers. I think
20 this would be very useful information for the American
21 public.

22 Information systems, we need a greater focus on

1 information systems. There is confusing information,
2 contradictory information and there is misleading
3 information on both sides. There is hype on the
4 marketing side and there are scare stories on the media
5 side and on the government side. We really need to cut
6 through that maze of information and try to get
7 information, especially for consumers and practitioners
8 that is quality evaluated, so people can trust the
9 information and utilize in their decision-making process.

10 I would certainly include in that, as a
11 specialty library, that we need more library funding and
12 collaboration to utilize the resources that are currently
13 untapped. There are a lot of people in this country who
14 have specialized collections that could provide a greater
15 level of information than we currently have.

16 I would advise extreme caution in public
17 statements by our regulators and scientists. We have
18 heard some already, sort of overstatements about
19 ephedrine adverse reactions. The birth control issue
20 with St. John's wort has been a subject of speculation,
21 but the truth is there is no direct evidence that St.
22 John's wort affects the effectiveness of birth control at

1 all.

2 There are two anecdotal cases of breakthrough
3 bleeding, but that is common with birth control anyway.
4 So, really, that is something that someone found in
5 dinavir [ph]. Cyclosporin activity was affected,
6 speculated that it was a cytochrome P450 effect and then
7 went from there.

8 Finally, I believe true cooperation and
9 collaboration between government, academia and industry
10 can help us all through research and education toward a
11 more appropriate, safe and effective use of complementary
12 and alternative health care modalities.

13 Thank you.

14 DR. GORDON: Thank you.

15 Dr. Anthony Rosner.

16 DR. ROSNER: Thank you very much. I want to
17 thank all the panel members for inviting me to share a
18 little bit about our background and sharing some real
19 concerns and possible solutions to alternative medicine
20 research.

21 I particularly want to thank the panel members
22 for establishing such a congenial and inquisitive

1 atmosphere. This has very much the feeling of the
2 Chantilly meeting some eight years ago. So, as an
3 alumnus of the esteemed class of 1992, I am happy to
4 outline a little bit of what the foundation has done and
5 talk about barriers.

6 Now, I did list the barriers and I guess the
7 sort of Audubon field guide, which is at your disposal.
8 There are ten critters here that I think you need to be
9 aware of and I also listed 13 possible solutions. So,
10 please bear with me. Strap yourselves in and I will try
11 to do justice. But forgive me if there is a little bit
12 of the hit and run aspect to what I will be talking
13 about.

14 Our foundation has been around for 50 years and
15 it has essentially bootstrapped about 175 projects in the
16 area of chiropractic. We are very pleased that the first
17 federal funding that was talked about earlier by Bill
18 Meeker really came at centers that had been funded by us
19 initially. We have also supported some 125 research
20 fellows, maybe 25 residents.

21 As you probably are aware, 25 years ago,
22 chiropractic research was vastly underdeveloped and

1 appeared very much as an oxymoron. It was in 1975 that a
2 conference at the NINDS concluded that, this is a quote,
3 "There was little scientific data or significance to
4 evaluate this chiropractic clinical approach to health
5 and the treatment of disease."

6 Since that time, we now have seen 40 randomized
7 clinical trials supporting spinal manipulation, comparing
8 with other treatments, meta-analyses and systematic
9 reviews and multidisciplinary panels representing the
10 governments of the United States, Canada, Great Britain,
11 Sweden, Denmark, Australia and New Zealand. These have
12 all expressed similar recognition of the robust evidence
13 in support of spinal manipulation for managing low back
14 conditions.

15 Well, this brings us to the problem of
16 barriers. Dr. Federman had talked earlier, I guess, of
17 savage prejudices and, unfortunately, these exist. Most
18 of these do remain in place. Some of these have just
19 been lifted, but I did want to go through this list with
20 you.

21 No. 1, we have to talk about collaborative
22 arrangements. This goes back to 1993. The Office of

1 Alternative Medicine required that researchers in
2 alternative medicine collaborate with people from an
3 orthodox medical background. These would be individuals
4 familiar with conventional research methodologies.

5 Obviously, this was meant for educational
6 purposes, to improve the quality of research, but my only
7 cautionary note here is that if funds are not sent to
8 alternative medicine research centers in great amounts,
9 there is the risk of strangling some of the origins of
10 where these ideas came from.

11 So, we have to be aware of an equitable
12 distribution of funds and resources. Obviously, NCAM's
13 establishment of specific research centers, chiropractic,
14 that would be Palmer University and recent R01 research
15 programs, which individual researchers in CAM can step
16 forward, these are obviously major steps in the right
17 direction.

18 The second issue, domestic institutions, there
19 have been a number of major milestones in research that
20 have significantly lowered barriers to both the practice
21 and research of chiropractic and these have been
22 accomplished abroad. We talk about the low back studies

1 of Meade in Great Britain, tension headache studies of
2 Dilsen [ph] in Denmark, the first randomized trial
3 addressing colic. This is possibly a non-musculoskeletal
4 condition, and this is in infants. This is in Denmark.
5 Numerous asthma case reports and a pilot for randomized
6 clinical trials from Australia to lay the foundation for
7 future clinical trials, these are just a few of the
8 outstanding examples. So, the requirement of many past
9 federal programs restricting grants to domestic
10 institutions only would represent an impediment to the
11 accomplishments of potential research in alternative
12 medicine, which recognizes no national boundaries and
13 which has clearly benefited from additional resources
14 available beyond American borders.

15 No. 3, composition and proceedings of
16 institutional review boards, undoubtedly these are an
17 indispensable component for ensuring patient safety and
18 knowledgeability in the clinical trial. Unfortunately,
19 from my own experience at a major medical institution,
20 there have been instances in what a proposed randomized
21 clinical trial that seemed to pass some preliminary
22 scientific review, was rejected out of hand for what was

1 probably the harboring of anti-chiropractic sentiments by
2 the head of the IRB.

3 Obviously, while implementing panels to monitor
4 the behavior of IRBs may seem a bit excessive, the issue
5 does bear further scrutiny in the event that viable and
6 safe alternatives in the patient's interest fall victim
7 to prejudice within an IRB. This leads directly to the
8 fourth problem, study sections, for many of the same
9 reasons I just talked about.

10 We need an equitable number of individuals
11 within each study section of a grant proposal, who are
12 familiar with and sensitive to the conduct of therapeutic
13 regimes to be tested. Common sense dictates that as
14 eloquent and sympathetic a presentation of the therapies
15 to be studied be made to the study section as a whole.

16 Fifth, we have to talk about publication bias
17 and quotas. There are examples of editorial bias and
18 quotas, which have prevented the most robust of
19 chiropractic research from reaching the necessary
20 audiences. A headache study by Beline [ph], for
21 instance, which was rated the highest by two independent
22 systematic reviews, was denied publication in The New

1 England Journal of Medicine, Headache and Cephalgia,
2 before it finally appeared in the Journal of Manipulative
3 and Physiological Therapeutics.

4 Two years later, there are examples in which
5 editorial comments to the principal authors of studies
6 have clearly indicated that obtaining negative results,
7 results for spinal manipulation was the criterion for
8 acceptability. Thus, it is with dismay that I find two
9 inferior and widely publicized studies of chiropractic,
10 which did get published in The New England Journal of
11 Medicine. These have been rebutted extensively elsewhere
12 and to add frosting to the cake, there have been
13 statements from two previous editors of this journal,
14 offering little encouragement.

15 They have been quite biased with little
16 qualification in their negative assessments of CAM.

17 The sixth point, this goes on to the press and
18 the journals, this type of bias and editorial policy,
19 obviously, has ramifications in what is actually stated
20 in papers and subsequently published in the lay press.
21 One study published in The New England Journal of
22 Medicine, for instance, stated a conclusion that was far

—

352 ✓

—

1 beyond anything supported by the data. Specifically, the
2 study discouraged the routine referral of patients to
3 chiropractic.

4 It said, "Given the limited benefits and high
5 costs, it seems unwise to refer patients with low back
6 pain for chiropractic or intensive therapy." This is to
7 me an egregiously out of bounds statement for a
8 scientific journal and, of course, when the lay press
9 gets its on it, which they did, it only got worse and we
10 saw such scare headlines as, "Study Targets Worth of
11 Chiropractic," "Chiropractic Care Blasted in Two
12 Studies."

13 You can imagine this only poisons the
14 atmosphere, inhibiting further research efforts, inducing
15 third party payers to deny reimbursements for
16 chiropractic services, in which the outcomes have yet to
17 be definitively disproved. So, news releases such as
18 these need to be actively discouraged and the public
19 needs to be further enlightened as to research and
20 potential of multiple modes of alternative therapy, not
21 just chiropractic.

22 Mainstream versus alternative status of

PERFORMANCE REPORTING
Silver Spring, Maryland
Phone: 301.871.0010 Fax: 301.871.0020

352
**PHOTOCOPY
PRESERVATION**

1 beyond anything supported by the data. Specifically, the
2 study discouraged the routine referral of patients to
3 chiropractic.

4 It said, "Given the limited benefits and high
5 costs, it seems unwise to refer patients with low back
6 pain for chiropractic or intensive therapy." This is to
7 me an egregiously out of bounds statement for a
8 scientific journal and, of course, when the lay press
9 gets its on it, which they did, it only got worse and we
10 saw such scare headlines as, "Study Targets Worth of
11 Chiropractic," "Chiropractic Care Blasted in Two
12 Studies."

13 You can imagine this only poisons the
14 atmosphere, inhibiting further research efforts, inducing
15 third party payers to deny reimbursements for
16 chiropractic services, in which the outcomes have yet to
17 be definitively disproved. So, news releases such as
18 these need to be actively discouraged and the public
19 needs to be further enlightened as to research and
20 potential of multiple modes of alternative therapy, not
21 just chiropractic.

22 Mainstream versus alternative status of

1 chiropractic, this is an unusual bird because when we
2 talk about low back pain and headache studies,
3 chiropractic has more or less moved into the mainstream
4 category. Bill Meeker had called this the elephant in
5 the room. But we have other types of interventions. We
6 have other types of conditions for this intervention
7 seems promising.

8 Here we talk about scoliosis, otitis media and
9 infantile colic, to name a few. This I would suggest is
10 the lion pacing in the wings, besides being the elephant
11 in the room for mainstream practices.

12 Origins of mainstream medicine, No. 8, this is
13 not infallible. The preamble to the five year strategic
14 plan of NCAM says as CAM practices once considered
15 unorthodox, are proven safe and effective by rigorous
16 scientific investigation, they become part of mainstream
17 health care.

18 This is certainly the way we would hope to
19 transform good research into practice. Clearly, this
20 would be the mission of NCAM, this commission and our
21 foundation, but since only a minority of medical
22 procedures have been supported by documentation, we have

1 to be wary that all procedures are, in fact, going to be
2 supportive.

3 No. 9, paradigms. In The New England Journal
4 of Medicine, chiropractic has often been confused with
5 high velocity thrusting of the spine and this would
6 reduce it to a one dimensional specialty of cracking
7 joints. It represents much more of that. We are talking
8 about the use of hot and cold packs, electrical
9 stimulation, soft tissue procedures and nutritional
10 counseling as other types of therapies that have been
11 licensed.

12 The last problem that I will state, I will not
13 talk about the solutions, these are in the handout, is
14 the RCT, Tieraona Low Dog had asked about, other types of
15 approaches. Here we have to appreciate that in
16 randomized clinical trials, the placebo has
17 inappropriately been represented. This is dealing with a
18 sham procedure, which is some times confused as not
19 having an effect at all. Meta-analyses outside of
20 chiropractic have been misinterpreted such that depending
21 on whose scale one uses, totally diametrically results
22 can be obtained. So, it is a question of what values are

1 put into scales such as this.

2 The third and final example with pharmaceutical
3 companies has to deal with antifungal agents in which one
4 agent was inappropriately administered orally instead of
5 intravenously and in this case there wasn't ever a chance
6 for a fair comparison. Yet, you know, this was laid out
7 as gospel.

8 So, in closing, I guess I want to just caution
9 the Commission to understand that there are other types
10 of clinical evidence, as David Sackett has pointed out,
11 and I would wish that the Commission place far greater
12 emphasis on cohort studies and case series in reaching
13 its research goals rather than to assume categorically
14 that they provide inferior guidance to the clinical
15 decision-making than RCTs.

16 It should be quite clear that a well-crafted
17 cohort of case series is really more informative than a
18 flawed or corrupted randomized clinical trial.

19 DR. GORDON: Thank you very much for this
20 extremely well-written, thoroughly-documented, written
21 testimony. I think it will be extremely helpful.

22 For others who will be testifying, I think we

355

—

—

1 need to encourage people to prepare exactly this kind of
2 testimony wherever possible because not only can we
3 listen to you and talk to you, we can go and check it out
4 for ourselves.

5 DR. ROSNER: I hope so.

6 DR. GORDON: Which is really very helpful. For
7 any of you, we would appreciate any documented evidence,
8 anything you would like to send us you think would
9 further our knowledge, we would welcome.

10 We have some opportunity for questions. Bill
11 and Tom first.

12 DR. FAIR: I would like to clarify one thing
13 with Dr. McCaleb. You said that in the last 40 years,
14 there has not been a single natural product in the United
15 States. Is that correct?

16 MR. McCALEB: Right, approved as a new drug.

17 DR. FAIR: Then you mentioned Taxol. What was
18 the different situation with regard to Taxol that got
19 Taxol approved?

20 MR. McCALEB: Well, pharmaceutical companies
21 have been looking for single chemical entities in plants.
22 They have achieved approval for a few, vincristine and

1 need to encourage people to prepare exactly this kind of
2 testimony wherever possible because not only can we
3 listen to you and talk to you, we can go and check it out
4 for ourselves.

5 DR. ROSNER: I hope so.

6 DR. GORDON: Which is really very helpful. For
7 any of you, we would appreciate any documented evidence,
8 anything you would like to send us you think would
9 further our knowledge, we would welcome.

10 We have some opportunity for questions. Bill
11 and Tom first.

12 DR. FAIR: I would like to clarify one thing
13 with Dr. McCaleb. You said that in the last 40 years,
14 there has not been a single natural product in the United
15 States. Is that correct?

16 MR. McCALEB: Right, approved as a new drug.

17 DR. FAIR: Then you mentioned Taxol. What was
18 the different situation with regard to Taxol that got
19 Taxol approved?

20 MR. McCALEB: Well, pharmaceutical companies
21 have been looking for single chemical entities in plants.
22 They have achieved approval for a few, vincristine and

357

—

—

1 binblastine [ph], Taxol, captophecane [ph]. There are a
2 few examples there.

3 Taxol was approved for one substance as a sort
4 of orphan drug as I understand it, for one type of
5 cancer, but is actually being used for breast cancer,
6 which is much more common and would not have qualified it
7 for that orphan drug protection. In any case, the point
8 I was trying to make is that for complex botanical
9 extract for anything other than a pure chemical entity,
10 we have failed to approve any as new drugs since 1962
11 when the efficacy provisions were added to the statutes.

12 MR. CHAPPELL: Dr. McCaleb, thank you for your
13 recommendations and I would like to explore a little bit
14 more about the natural products OTC idea panel and so
15 forth. You are suggesting that botanicals can have a
16 sort of monograph equivalent that could be set up as a
17 standard so that everybody could make the claim, so long
18 as it was meeting that monograph.

19 Is that what you are envisioning?

20 MR. McCALEB: Yes. This was the unanimous
21 recommendation of the Commission on Dietary Supplement
22 Labels, that because botanicals and natural products are

1 binblastine [ph], Taxol, captophecane [ph]. There are a
2 few examples there.

3 Taxol was approved for one substance as a sort
4 of orphan drug as I understand it, for one type of
5 cancer, but is actually being used for breast cancer,
6 which is much more common and would not have qualified it
7 for that orphan drug protection. In any case, the point
8 I was trying to make is that for complex botanical
9 extract for anything other than a pure chemical entity,
10 we have failed to approve any as new drugs since 1962
11 when the efficacy provisions were added to the statutes.

12 MR. CHAPPELL: Dr. McCaleb, thank you for your
13 recommendations and I would like to explore a little bit
14 more about the natural products OTC idea panel and so
15 forth. You are suggesting that botanicals can have a
16 sort of monograph equivalent that could be set up as a
17 standard so that everybody could make the claim, so long
18 as it was meeting that monograph.

19 Is that what you are envisioning?

20 MR. McCALEB: Yes. This was the unanimous
21 recommendation of the Commission on Dietary Supplement
22 Labels, that because botanicals and natural products are

—

358

—

1 different and more complex than the single chemical
2 entities that are commonly studied in health care, that a
3 special panel should be empowered with pharmacognicists
4 and anthrobotanists and the kind of people who specialize
5 in this area.

6 Then unlike the model of OTC review, where you
7 had a cough/cold panel and the different specialties,
8 that this panel could then pull in those specialties as
9 needed, bringing in doctors who specialize in a
10 particular condition, to assist them in making decisions
11 about a botanical or other natural product that was to be
12 used for that purpose.

13 The point was to have a panel of experts, who
14 were really specifically focused in this area of natural
15 product health care, to sit on an ongoing panel and look
16 at the evidence and make decisions.

17 MR. CHAPPELL: So, your view is we would have
18 an upgrade of DSHEA that is short of an NDA or drug?

19 MR. McCALEB: Right. Certainly still the --

20 MR. CHAPPELL: In terms of claims.

21 MR. McCALEB: -- prescription drug process is
22 still open to botanicals, but, yes, this would be a panel

1 different and more complex than the single chemical
2 entities that are commonly studied in health care, that a
3 special panel should be empowered with pharmacognicists
4 and anthrobotanists and the kind of people who specialize
5 in this area.

6 Then unlike the model of OTC review, where you
7 had a cough/cold panel and the different specialties,
8 that this panel could then pull in those specialties as
9 needed, bringing in doctors who specialize in a
10 particular condition, to assist them in making decisions
11 about a botanical or other natural product that was to be
12 used for that purpose.

13 The point was to have a panel of experts, who
14 were really specifically focused in this area of natural
15 product health care, to sit on an ongoing panel and look
16 at the evidence and make decisions.

17 MR. CHAPPELL: So, your view is we would have
18 an upgrade of DSHEA that is short of an NDA or drug?

19 MR. McCALEB: Right. Certainly still the --

20 MR. CHAPPELL: In terms of claims.

21 MR. McCALEB: -- prescription drug process is
22 still open to botanicals, but, yes, this would be a panel

1 approach of expert opinion, making decisions rather than
2 the full NDA process.

3 DR. GORDON: Wayne.

4 DR. JONAS: There is a lot of talk about
5 incentivizing the private sector and this is of great
6 concern to us about how to go about doing this.
7 Obviously, it is very clear that the NIH will never be
8 able to fund probably even a fraction of the potential
9 therapies that have even been identified in multi-center,
10 large, randomized, five-armed placebo-controlled trials.

11 So, how to incentivize the private sector to
12 invest in this area is part of what we are going to
13 discuss actually tomorrow and also, I think, a little
14 later on today.

15 But what I heard you say, Floyd, is that there
16 is a problem in the patenting process or at least there
17 are issues that are obstacles in terms of the patenting
18 process. As far as I can tell, we haven't really
19 addressed that and don't have it as a major item on the
20 agenda. I am wondering is that something that would be
21 an important area to specifically look at in terms of its
22 influence on this incentivization process and should that

1 be something the Commission should be looking at and if
2 so, how.

3 DR. LEADERS: Let me come back to that because
4 I understand where Rob is coming from on the taking these
5 products and giving the exclusivity to one particular
6 person or one particular company. I fully appreciate
7 that. I am not talking about the general kind of
8 products, like the ginkgo biloba, the hypericum [ph] and
9 so forth. I am talking about a botanical that is used
10 not like Taxol, where you take out a single active
11 ingredient, but maybe an extract of Taxol that has
12 several of the other ingredients in it also.

13 There is a place for some of these products.
14 The patent protection probably can be gotten on some of
15 those that have not been as widely used. But there needs
16 to be a guarantee or some kind of -- there is really no
17 reason, nothing to motivate a company to invest the kind
18 of money we are talking about.

19 I think that it should be looked at. There are
20 several proposals to Congress on this kind of thing. I
21 don't think that they --

22 DR. JONAS: Specifically addressing the patent?

361

—

—

1 DR. LEADERS: Dr. de Felice's [ph] act on and I
2 can't remember the name of it, but it basically would set
3 up a whole new process. I am not sure one is needed.
4 But if there were a legislative way of saying if you have
5 a particular extract and you have documented this and you
6 have gone through all the hurdles, you should be able to
7 have some kind of legislative time to get your money
8 back.

9 DR. JONAS: Should we ask for the patent office
10 and some of those folks to be in here and talk to us
11 about it?

12 DR. LEADERS: Yes, it would be interesting
13 because we have patent lawyers here in the Washington
14 area. We believe we can get proprietary protection on
15 some of them. But I think it would stimulate research,
16 yes. I think that is another way of doing it.

17 DR. JONAS: I had one question for Dr. Rosner.

18 That is, what is the status of the chiropractic
19 research network? Is there such a network? I know there
20 used to be and the reason I ask that, of course, is
21 because this is a potential mechanism for collecting data
22 in actual practices and the question of what is needed to

1 DR. LEADERS: Dr. de Felice's [ph] act on and I
2 can't remember the name of it, but it basically would set
3 up a whole new process. I am not sure one is needed.
4 But if there were a legislative way of saying if you have
5 a particular extract and you have documented this and you
6 have gone through all the hurdles, you should be able to
7 have some kind of legislative time to get your money
8 back.

9 DR. JONAS: Should we ask for the patent office
10 and some of those folks to be in here and talk to us
11 about it?

12 DR. LEADERS: Yes, it would be interesting
13 because we have patent lawyers here in the Washington
14 area. We believe we can get proprietary protection on
15 some of them. But I think it would stimulate research,
16 yes. I think that is another way of doing it.

17 DR. JONAS: I had one question for Dr. Rosner.

18 That is, what is the status of the chiropractic
19 research network? Is there such a network? I know there
20 used to be and the reason I ask that, of course, is
21 because this is a potential mechanism for collecting data
22 in actual practices and the question of what is needed to

1 encourage that, if we are going to be doing outcomes or
2 observational or cohort research as you describe it is
3 crucial.

4 DR. ROSNER: I know. You are talking about a
5 database per se or --

6 DR. JONAS: Well, I mean, it is more than a
7 database. No. I am talking about research practitioners
8 that is organized in such a way that you can actually
9 collect practice-based data. I mean, this exists in a
10 number of conventional areas. I don't know of any good
11 network like that among complementary medicine
12 practitioners.

13 I know the Naturopath Liana [ph] has put
14 together, and you all had some and I am just wondering
15 what the situation is with that and is there some way in
16 which that can be developed?

17 DR. ROSNER: Yes. We have had a number of
18 ongoing discussions about this problem and there have
19 been localized attempts at this. I guess the most
20 courageous effort has been mounted at Palmer, as far as
21 organizing a database for both practitioners and
22 researchers to get into to understand what information

1 sources are available, how to conduct a case study.

2 One of the things we are very sensitive about
3 is starting at the very beginning, which is record
4 keeping. This is something we are constantly visiting
5 practitioners and lecturing about because it is really
6 the very first step.

7 DR. JONAS: I think it would be useful to hear
8 what could occur to help develop this and perhaps you and
9 also Bill could discuss that and give us some suggestions
10 as to how to do that because, clearly, if there is no
11 infrastructure, if there is no organized way of
12 collecting data among practitioners and, yet, the
13 practices are delivered out there in diffuse groups, then
14 there will be no way of actually collecting this
15 observational data.

16 DR. ROSNER: Again, these are localized
17 efforts, but this is something that David Eisenberg, we
18 basically started Eisenberg with a grant from our own and
19 other sources before he went on to federal funding. This
20 is now covering eastern Massachusetts, as you know,
21 practitioners in acupuncture, massage, chiropractic.

22 It is a database as far as methods used or

1 concerned outcomes within cost will follow. So, these
2 will probably be models to what we will be proposing.

3 DR. GORDON: Are there any other questions
4 before we go on to the final panel?

5 Yes, Tom and then Bill.

6 MR. CHAPPELL: As I understand it, single herbs
7 are going to be researched, have been researched and the
8 cost is what it is. Someone will have to pay that price
9 and right now, NCAM is farming that out to centers and we
10 are getting documentation on the mechanisms and the
11 effectiveness of single herbs.

12 Is that correct?

13 MR. McCALEB: It is correct, but there is also
14 prior to the funding, where companies are taking their
15 own formulas and doing the research, yes.

16 MR. CHAPPELL: We are doing that as well. Are
17 formulas or single herbs --

18 MR. McCALEB: Both.

19 MR. CHAPPELL: I wanted to address just the
20 singles. Single herbs, it seems to me, obviously,
21 everyone has an interest. It should be public domain,
22 public cost, public domain. The private protection I

1 hear you asking for, Dr. Leaders, is the blend, the
2 proprietary, the imagination, the unique perspective on a
3 blend of certain herbs that need to be protected is going
4 to have its cost of research. Is that where you are
5 looking for the exclusivity?

6 MR. McCALEB: Yes, that is one. There was a
7 conference here in Washington last year on the use of
8 natural products in the treatment of cancer.

9 There was a conference here at NIH on the use
10 of natural products or botanicals in the treatment of
11 cancer. There were a couple of those that fascinated the
12 heck out of me. Some of them were single chemical. Some
13 of them were Chinese of the variety where you have more
14 than one. That is the kind of a product, something that
15 would be -- I will give you an example that was at that
16 conference, a product that in theory lowered the viral
17 titer in hepatitis B and C.

18 Also, after treatment or during treatment, the
19 fibrosis in the liver went away. Those kind of things
20 would be extremely interesting for a very serious medical
21 practice. That is the kind of thing I am talking about,
22 to give the incentive to really bring a tool like that.

1 DR. GORDON: Bill.

2 DR. FAIR: Just a quick question.

3 Are there any difficulties with going into a
4 foreign country and taking a plant and bringing it back
5 to the United States, legal difficulties?

6 DR. LEADERS: Yes.

7 DR. FAIR: Is that one of the factors also that
8 has stopped the development of this field?

9 DR. LEADERS: Yes, it has. My advice would be
10 to work with somebody in that country. Don't necessarily
11 bring it back to the United States. Why not work as a
12 partnership within that country?

13 DR. GORDON: Thank you. Thank you very much.
14 Thanks for your testimony and for being with us.

15 Again, we will stretch for about two minutes
16 and then we will sit for the final panel.

17 [Brief recess.]

18 DR. GORDON: I want to thank the four of you
19 very much for your patience and your hanging in there
20 with us and also for traveling to be with us and to share
21 your experience. This is a very important panel to us
22 because we have kind of indirectly been referring to you

1 during a good deal of the day in talking about some of
2 the possibilities and some of the challenges of doing
3 research when you are in private practice.

4 So, I am glad to welcome you and also glad to
5 welcome Dr. Jeffrey White from the National Cancer
6 Institute, who was here with us at the beginning of the
7 morning and who has been a major force in helping people
8 to do research in the field.

9 So, let's begin with Dr. Nicholas Gonzalez.

10 **Session VII: Outcomes Research Part I**

11 **Interface Between CAM**

12 DR. GONZALEZ: When I think about my work, I
13 think about it in terms of a good idea that we are trying
14 to keep alive. It certainly isn't my idea. So, I am not
15 giving myself credit. At lunch I gave the five minute
16 version. I will give you the 11 minute version now. I
17 promise I will keep it short.

18 But without discussing some of the history in
19 terms of viewing the regulatory problems in the past, it
20 is not going to put it in a full context. The roots of
21 what I do actually go back to the turn of last century
22 100 years ago and the Scottish embryologist, John Beard,

1 who was a full professor at the University of Edinburgh.
2 He was a Ph.D. who first suggested pancreatic proteolytic
3 enzymes represent the body's main defense against cancer.
4 He didn't pull this out of the air. He had spent 30
5 years studying the embryological development of the
6 pancreas.

7 He was well-versed in scientific method and
8 published a series of articles in 1902 to 1905 showing
9 both in animal models and some preliminary human evidence
10 that pancreatic enzymes not only might prevent cancer,
11 but might be useful as a cancer therapy. He was
12 universally derided and ridiculed, I should say near
13 universally, because there were several physicians,
14 eminent physicians at major institutions that took Dr.
15 Beard very seriously and working with him, used
16 injectable pancreatic enzymes, proteolytic enzymes, to
17 treat advanced cancer.

18 Their successes are documented carefully and
19 clearly in the orthodox medical literature in journals
20 such as JAMA, the Journal of the American Medical
21 Association, the Medical Record, the British Medical
22 Journal. I have those articles. They are very

1 interesting. In my estimation, they represent the first
2 cases of cancer regression. They use the word "cure"
3 with nonsurgical therapy.

4 However, at the same time, Madame Curie, very
5 well-known, very well-loved, an international celebrity,
6 announced to the world that radiation therapy was a
7 simple, easy, non-toxic way of curing all cancers. Of
8 course, she would die from radiation-induced cancer
9 damage. A whole generation of radio-oncologists were
10 follow her to the grave.

11 We learned subsequently during the 1920s and
12 the thirties after Beard was gone, that radiation wasn't
13 simple, wasn't non-toxic, didn't work for most cancers.

14 DR. GORDON: Excuse me, Nick. Could you slow
15 down a little in the interest of the efficiency of the
16 transcriber.

17 DR. GONZALEZ: If I go too slow, you will fall
18 asleep.

19 Madame Curie announced to the world and
20 subsequently died from radiation damage, I said that, and
21 a whole generation of radiation oncologists would follow
22 her to the grave. During the 1960s, the eccentric and

1 controversial dentist, William Kelly, resurrected Beard's
2 enzyme therapy and developed a very complex, nutritional
3 and enzyme therapy to treat cancer. His attempts to
4 revive Beard's work were met with great hostility from
5 regulatory agencies.

6 He was repeatedly thrown in jail. First he was
7 a dentist. Dentists aren't supposed to treat cancer.
8 Secondly, this was the 1960s, where if you mentioned
9 cancer and nutrition in the same sentence, it virtually
10 was a federal offense. This is one example, as I said
11 earlier at the press conference, where both local, state
12 and federal agencies worked together very well to harass
13 Dr. Kelly. It was a miracle that he was able to survive.

14 I first heard of Dr. Kelly at the end of my
15 second year of medical school in 1981, when I was at
16 Cornell. I had gone to Cornell because I was interested
17 in cancer research and Cornell was associated with Sloan
18 Kettering. The then president of Sloan Kettering, Robert
19 Goode, had sort of adopted me as kind of, as I said
20 earlier, maybe he thought I could be useful cleaning test
21 tubes or something in his lab.

22 After I met Kelly, I went to Dr. Goode and said