

1 exactly. There are different implications and
2 consequences of even the findings in the practice of
3 medicine than there are in the use of herbal supplements.

4 So it seems to me, what we really need is,
5 probably, look at the whole field and decide what are the
6 main types of issues and what are the appropriate
7 methodologies, and to move away from the idea that there
8 is one, and only one, scientific methodology which is
9 all-purpose, valid for any and all purposes.

10 DR. TEMPLETON: Just on the question of
11 spirituality or religion, that sort of thing, I think
12 there are new openings, such as in the training of
13 medical students, and perhaps residents, to understand
14 that it is appropriate to take a spiritual or religious
15 history. You can do that fairly quickly, in two or 3
16 minutes, which may or may not open doors that will be
17 very meaningful to that patient as they face their
18 illness or their health problem.

19 So I think that there are some new approaches
20 that can bring these spiritual or religious-based
21 sensitivities into the mainstream.

22 DR. GORDON: Thank you.

1 Joe.

2 DR. FINS: I just want to endorse the notion of
3 really looking at methodology as an important issue. I
4 think Wayne is absolutely right, we can have new
5 therapies and new methodologies coexisting. We have to
6 foster the development of new methodologies, but this is
7 an old question about -- viability. This was a question
8 for psychoanalysis. Freud had the same problem. So this
9 is an old, ancient question that we will have to address
10 as well.

11 I want to also add to the issues, and I think
12 Dan mentioned this, the issue that this is really a
13 public phenomenon, and resonate with what Dean said about
14 the difficulty of saying no. I would also expand to the
15 psychodynamic aspects of being an investigator in CAM,
16 being marginalized, perhaps, by your traditional peers
17 and finding a tremendous resonance with your patients,
18 and whether that phenomenon is different than a
19 conventional investigator who is handling chemotherapy
20 and can't enroll another patient because the funding has
21 run out or they have accrued the number of patients
22 necessary. So the sociology of the investigator as well

1 as the phenomenon.

2 I have a really concrete question, just to add
3 to this session, for Ms. Hayes and Dr. Templeton.

4 We heard yesterday that some of the
5 investigators, because of the marginalization, are not
6 affiliated with institutions or with not-for-profits.
7 There are for-profit practitioners who may not qualify
8 for the 501(c)(3) issue.

9 I am just wondering how a not-for-profit
10 foundation could support people who don't have their own
11 infrastructure, and what kind of suggestions both of you
12 might make to foster that as an outreach.

13 MS. HAYES: It is not illegal for a foundation
14 to make a grant to an individual. It just requires that
15 the foundation bear the responsibility. We call it
16 expenditure responsibility. It demands more staff time
17 and a willingness on the foundation to do that, because
18 many times we fund organization that aren't U.S.-based.
19 We have to be willing to take that grant. It just
20 requires some additional due diligence up front and so
21 forth.

22 Unfortunately, the Hilton Foundation rarely

1 will fund an individual. I can't think of an instance
2 that we have in our history, but we do fund organizations
3 that are not 501(c)(3).

4 DR. TEMPLETON: I agree exactly with What
5 Dyanne said. As a new foundation and in pushing some
6 frontiers that are not generally picked up by a lot of
7 other foundations, we are more flexible in resorting to
8 expenditure responsibilities when we think someone's
9 undertaking is really worth supporting. We don't do it
10 commonly, but we are much more open to it.

11 DR. FINS: But if we want to generalize it, and
12 have foundations that are, perhaps, less enamored with
13 this new evolving area of funding.

14 Are there recommendations that you might
15 perhaps mention now or send to us, changes in the law, in
16 the not-for-profit law, that might help to encourage
17 greater outreach on the part of foundations that, maybe,
18 do not want to take this additional set of
19 responsibilities on?

20 Maybe you can supply that to the Commission,
21 because we could make those recommendations downstream.

22 DR. GORDON: Anyone else want to respond? Tom.

1 MR. CHAPPELL: Dr. Templeton, thank you for
2 your presentation. I was curious, as you were speaking
3 about spirituality as a complement to traditional
4 medicine, whether you have explored 12-step therapy,
5 recovery therapy, in any one of the addictions as an
6 efficacious complement for health.

7 DR. TEMPLETON: We haven't done it. We try,
8 because our funding is to some extent limited, to find
9 cutting-edge research that has not been done before.
10 There are a fair number of studies that have addressed
11 the 12-step approach, and they do seem to support the
12 efficaciousness of it.

13 The study that I mentioned about the 200-some
14 men who were addicted to opiates was not our study, but
15 that is an example of the impact of a spirituality-based
16 therapeutic approach for those people who were addicted.

17 MR. CHAPPELL: Thank you.

18 DR. GORDON: Any other questions from any of
19 the commissioners?

20 [No response.]

21 DR. GORDON: I have one. If you could push us
22 in the direction of doing something, recommending

1 something as a commission to move the whole field ahead,
2 I am just curious, what would each of you suggest to us?
3 One recommendation that we might make that would push or
4 bring with us the whole field of CAM, that would make
5 sense from your perspective.

6 DR. CALLAHAN: I guess I would simply stress
7 the point I made. I would like to have a better
8 understanding of what there is about CAM that attracts so
9 many people when there is this alternative system that
10 purports to be dealing well with people.

11 To me, there is a mystery here and it is worth
12 really trying to explore; what gap is it filling, and how
13 is it filling it.

14 DR. GORDON: Thank you.

15 MS. ADES: I would like to see the working
16 group to study the research methodology.

17 DR. GORDON: The study the research
18 methodology, okay.

19 MS. HAYES: I think it is about communication
20 and openness.

21 DR. GORDON: Any specific kind of proposal for
22 that?

1 MS. HAYES: Well, I am coming from a funding
2 perspective. I think foundations have to be inspired to
3 look at this, but they need help. The two of us here, we
4 are among only a handful of foundations that are willing
5 to look at this. It is a very small fraction of our
6 budgets.

7 We have to be willing to share our experiences,
8 but at the same time, I think the government bears some
9 responsibility in this, too, and as I mentioned, to
10 facilitate those conversations.

11 DR. GORDON: So to facilitate a conversation
12 among foundations as well.

13 MS. HAYES: Yes, and communicate that it can be
14 done. As I said earlier, it is not going to be messy and
15 it is not going to hurt, and we can all benefit from it.

16 DR. GORDON: Thank you.

17 DR. TEMPLETON: I would like to urge that,
18 because all of us are in this learning process, that if
19 one looks to a consensus conference or something, that it
20 not be a CAM consensus conference but pick two or three
21 components of CAM that has more coherence to it, herbal
22 therapy or something like that, and then work with a

1 meeting that would involve the foundations as well as the
2 research experts to be able to come up with better models
3 for studies. It becomes too diffuse if you try to just
4 do it all at once.

5 DR. GORDON: Thank you all very much. We
6 really appreciate it.

7 Let's take a 10-minute break now, okay, and
8 then we will come back.

9 [Recess.]

10 DR. GORDON: We are going to begin Session X.
11 This is Private Sector Support for CAM Research.

12 Again, thank you all for coming, and thank you
13 for coming and clearing your schedules on short notice.

14 We are going to have five speakers, and we will
15 listen to all five, and then we will have a chance for
16 questions and dialogue.

17 First, is Mr. Randy Burkholder from Advanced
18 Medical Technology Association.

19 **Session X: Private Sector Support for CAM Research**

20 MR. BURKHOLDER: Good morning, Dr. Gordon and
21 Commission Members. Thank you for having me speak here
22 this morning. Forgive me if I don't directly answer all

1 your questions, I have been listening to the debates this
2 week.

3 [Laughter.]

4 MR. BURKHOLDER: I am here on behalf of
5 Advanced Medical Technology Association. We represent
6 the producers and innovators in the field of medicine
7 thank you, and our members produce over 90 percent of the
8 medicine equipment and supplies that are consumed in the
9 United States.

10 Today, I hope to offer to you some insight into
11 private-sector research, and complementary and
12 alternative medicine from the vantage point of the
13 medical technology field.

14 First of all, a little context on the health
15 trends shaping CAM research that I am sure you are
16 familiar with. Consumers, as we all know from personal
17 experience, are spending more and more on health care.
18 In fact, that figure has quadrupled over the past 20
19 years. It now tops \$500 billion a year.

20 One thing we still have money for, certainly,
21 is complementary and alternative medicines. I am sure
22 most of you are familiar with the figure reported by Dr.

1 Eisenberg, that Americans spend an impressive \$12.2
2 billion of their own money on complementary and
3 alternative medicines in 1997.

4 Five Mountain Medicine Center in Hawaii is one
5 place that some of that is being spent. This center
6 seeks a new model for health in the 21st century by
7 bringing together leading-edge technology and
8 complementary and alternative techniques. Dr. Earl
9 Bakken [ph] is president of the board of that facility.
10 He also invented the first wearable external pacemaker in
11 1957, and cofounded Metronic, Incorporated.

12 Dr. Bakken foresees 10 important health trends
13 in the coming decades. Some of them are particularly
14 relevant for our discussion today. One of those is
15 rising demand for quality versus cost-cutting; the rise
16 of the individual as his or her own health manager; the
17 rise of the Internet, of course; and the integration of
18 complementary care.

19 Several of these trends can be described
20 together as the shift toward a patient- or consumer-
21 centered health care delivery system. The dramatic
22 growth in CAM over the past 10 years, of course, has been

1 an important and early indicator of this trend.

2 But who exactly are these empowered health care
3 consumers that we keep hearing so much about? Well, for
4 one, they are spending almost three times as much on diet
5 products, \$33 billion a year, as they are on CAM. The
6 outcome, or at least one way of looking at it, was
7 reported this week in the Journal of the American
8 Medicine Association, a 60 percent rise in obesity since
9 1991, and obesity, of course, is second only to smoking
10 as a cause of premature deaths in the United States.

11 It might make you wonder what exactly the
12 health care consumer is going to do in the coming years
13 with all his or her new-found power. I think that is
14 what brings us to this table today, is to answer that
15 question, what will health care consumers do with their
16 new clout. With reliable information, I believe they
17 will do good things. In fact, the longevity of a
18 patient-centered health care system depends on reliable
19 information. CAM, as a vibrant, integrated component of
20 that system, depends on reliable information as well.

21 From the private sector perspective, then, how
22 do you foster development of good information on CAM? As

1 we consider that issue, I think it is instructive to look
2 back at Dr. Bakken's pacemaker. It was, as I mentioned,
3 a rather bulky, wearable, external device. Today, if you
4 can see it, the little one I put on the table in front of
5 me would be on the larger side. Today, they are often
6 implanted on an outpatient basis in the pectoris with
7 non-thoracotomy leads inserted transvenously. They are
8 packed with therapeutic capabilities that even Dr. Bakken
9 probably wouldn't have dreamed of 30 years ago.

10 So medical technology certainly has come a long
11 way since the early days of the pacemaker. There are
12 three fundamental characteristics of medical technology
13 innovation that I think are important to consider. One,
14 it is very diverse. It encompasses, of course,
15 everything from laparoscopes to molecular diagnostics and
16 image-guided surgery systems.

17 Second, it is dynamic. It draws on many
18 different areas of science. It is a non-linear process
19 and it involves close dialogue between the innovator and
20 the clinicians, and it is very rapid. The average life
21 cycle of a new medical technology is a mere 18 to 24
22 months, and in some segments such as cardiology, it is

1 even less than that.

2 It is important to note that the incredible
3 technological advances of the past three decades have
4 occurred in concert with the development of a rigorous
5 pre- and post-market regulatory system in the field of
6 medical technology.

7 In the Medical Device Amendments of 1976,
8 Congress set some basic scientific principles to guide
9 the marketing of medical devices and diagnostics. To the
10 extent to which Joe Levitt or David Feigal went over
11 those in detail yesterday, I will keep my comments brief,
12 but some of the important things that Congress said in
13 that law were, they said if you want to sell a new
14 medical device or diagnostic test, you need to prove that
15 it is safe and effective. They said if you want to make
16 a new labeling claim, you need to back that up with
17 reliable data.

18 They said if there is an adverse event with
19 your product that causes patient harm, or could have
20 caused patient harm, that you need to report that to the
21 Food and Drug Administration, and they said that FDA will
22 inspect manufacturer's facilities to ensure the quality

1 of the products being produced.

2 This regulation, as I said, has been an
3 important component of the dramatic boom in innovation
4 over the past 30 years. It has helped inspire and
5 maintain consumer confidence in medical technology. It
6 has helped the industry weather the rare safety problems
7 that inevitably arise, and it has formed an important
8 part of the foundation for innovation over the past three
9 decades.

10 In spite of the breakthroughs that have been
11 made and are still being made, there are some barriers to
12 continued research that we face in the medical technology
13 field. One of those is a lack of research funding.
14 There is less venture capital funding today as a
15 percentage of overall financing than there was seven s
16 ago. It has been in decline over that time, in part, due
17 to the great interest in the Internet dot-coms.

18 Sources of private research funding also are
19 diminishing. The Whittaker Foundation, as you may know,
20 is the largest private sponsor of bioengineering
21 education and research at universities and medical
22 schools in the United States and Canada. That foundation

1 has decided to spend down its principle and to cease
2 operation in 2006.

3 Finally, there is comparatively little funding
4 at the National Institutes of Health for bioengineering.
5 Currently, only 5 percent of NIH research spending, about
6 \$500 million goes for bioengineering research. Despite
7 the importance of the NIH to funding bioengineering
8 research, it is not explicitly recognized at the NIH.

9 Regulation can, of course, be another important
10 barrier to research. For complementary and alternative
11 medicine, I think this could take the form either
12 inadequate or excessive regulation. In the field of
13 medical technology, we haven't faced the problem of
14 inadequate regulation over the past 25 years, but what I
15 am meaning by that is that regulations must be sufficient
16 to govern or direct research and foster development of
17 reliable data.

18 Further, the lack of adequate regulations in a
19 field like medical technology or CAM, can leave the field
20 more vulnerable to erosion of consumer confidence when
21 serious health problems or safety problems arise. This
22 in turn can weaken the field overall and discourage

1 further research.

2 That being said, excessive regulation can, of
3 course, also have an equally detrimental effect. It is
4 interesting to hear the debate over randomized,
5 controlled trials over the past few days. There has
6 certainly parallel debate in the field of medical
7 technology over the past 10 years, or at least comparable
8 in some ways.

9 When regulators start asking for excessive data
10 up front on comparative clinical or cost effectiveness
11 outcomes in defined patient populations, they likely will
12 drive away good products and freeze further research.

13 CAM, like medical technology, is a diverse
14 field, and it is important for the regulations to
15 recognize this diversity and to be commensurate with the
16 type of technology that is under consideration.

17 Despite these potential barriers, I think there
18 are some encouraging trends in private CAM research. One
19 is the ongoing debate over regulation. I think this is
20 healthy. It brings the key stakeholders together, and
21 hopefully enters into a process of defining an
22 appropriate regulatory system that encourages further

1 research.

2 The increasing number of medical students
3 interested in CAM and the increasing attention being
4 given to CAM at medical schools in providing instruction
5 also is encouraging. Those students, of course, are
6 tomorrow's champions and researchers in the field.

7 Third, I would point to the increasing
8 integration of CAM and traditional medical technologies
9 and technology companies. I think that will be an
10 important factor in the growth of research in this field.
11 You can see this in the growing number of pharmaceutical
12 companies taking an interest in CAM as well as the
13 activities of companies like Metronic, which through
14 their foundation, are funding research into areas that
15 link cutting edge cardiovascular technologies with
16 alternative treatment techniques.

17 To the extent that CAM becomes linked to these
18 endeavors and to these companies driven by scientific
19 research, research on CAM itself will increase.

20 I think that leaves us with three basic points,
21 or it leaves me with three basic points, anyway. One is
22 reliable information, a patient-centered health care

1 system, and I think the role of CAM in that system
2 depends on reliable information and the provision of that
3 information to the consumer.

4 Second, is adequate regulation. Companies need
5 to be up front, involved in a system of appropriate
6 regulation. Third, is continued integration. As I
7 mentioned, as CAM increasingly is linked with medical
8 technology and technology companies, research in CAM will
9 increase.

10 I think that would move us toward the goal that
11 we are seeking, which is a field based on sound science
12 that enjoys strong consumer confidence and continued
13 growth in the 21st century. Thank you.

14 DR. GORDON: Thank you very much.

15 Next is Dr. Raymond Ruddon from Johnson and
16 Johnson.

17 DR. RUDDON: Thank you. Someone mentioned this
18 morning, I am not used to talking without slides, or as
19 an old professor, at least a piece of chalk in my hand.
20 So I hope this will be clear.

21 I also want to thank the White House Commission
22 on Complementary and Alternative Medicine for this

1 opportunity to present, from Johnson and Johnson's point
2 of view, our thoughts about this very important area of
3 public health concern.

4 Meetings such as this will help focus public
5 policy initiatives to assure that this important approach
6 to the prevention and treatment of human disease reaches
7 its full potential. We want to address the Commission
8 concerning the issues involved in facilitating research
9 in this area, and particularly how private industry may
10 help facilitate that.

11 Johnson and Johnson has addressed this
12 expanding need for research and product development in
13 complementary and alternative medicine in a number of
14 ways, and I will list a few of these. We believe that
15 complementary and alternative medical products do provide
16 a new approach to disease prevention that is different
17 from the traditional medicines that are aimed primarily
18 at treatment rather than prevention. As more and more
19 people become better informed about their own health and
20 wellness, we believe that the so-called complementary and
21 alternative medical products will come to represent an
22 important range of new choices for consumers.

1 At J&J, we have undertaken a number of
2 initiatives to address this focus. In 1997, we
3 established a Disease Prevention/Health Promotion Task
4 Force, which I chaired, consisting of members from a
5 number of our operating companies that have interest in
6 this area that do provide products for a wide range of
7 health care products.

8 This task force had the following specific
9 aims: first, in order to broaden our understanding of the
10 disease prevention and health promotion strategies, the
11 task force undertook an extensive review of current
12 literature in the field. We also sought to identify some
13 of the key individuals, research groups, and
14 organizations who are leaders in these fields and to make
15 arrangements to consult with them about the status of
16 research and potential opportunities.

17 The task force then acted to identify and
18 prioritize the best leads that provide an opportunity for
19 Johnson and Johnson, based on the quality of supporting
20 data, consumer self-selection, proprietary protection,
21 market size, and fit within the Johnson and Johnson
22 operating companies' existing business competencies and

1 platforms.

2 The need for further research and the best way
3 to accomplish that research was carefully assessed at
4 J&J. We have established a number of ways to help
5 support projects and bring potential products into
6 development in this area.

7 I will mention, for example, two granting
8 mechanisms that the Corporate Office of Science and
9 Technology has, of which I am the director. One is a
10 focused giving grant, which is a basic grant, no strings
11 attached, primarily to fund academic investigators in a
12 wide variety of biomedical research, but this could
13 include CAM research. We also have a seed grant program,
14 which is more focused. There are some strings attached,
15 in the sense of right of first refusal for data that
16 comes out of that.

17 Finally, our task force was charged with the
18 responsibility of developing ways to monitor the progress
19 of research in the field of disease prevention and health
20 promotion, and to anticipate future product
21 opportunities. The task force interviewed, either on-
22 site or at J&J, a number of key investigators in

1 alternative medicine, and these included groups from
2 Harvard Medical School. We met, in fact, with David
3 Eisenberg twice from Harvard. We also met with
4 investigators from Johns Hopkins University, the
5 University Illinois-Chicago, Rutgers, the National Cancer
6 Institute, and a number of others.

7 J&J has used its seed grant program to sponsor
8 academic industry research collaborations. We have one
9 ongoing right now at the University of California-
10 Berkeley, for example, on developing methods to screen
11 bioactive materials in complex mixtures and to do
12 development mechanism of action studies.

13 J&J companies have also been active in the
14 development of products for disease prevention such as
15 Benecol to reduce cholesterol levels, and Splenda, an
16 artificial sweetener that diabetics can use.

17 Our company has also pioneered in the field of
18 health and wellness programs, and we have a wealth of
19 knowledge and proprietary intervention programs which
20 have been introduced to American workers through job site
21 programs. Indeed, we have a whole company in our family
22 of companies called Health Care System that is focused on

1 this important issue.

2 Against this backdrop of activity experience, I
3 would like to approach the questions that were posed by
4 the Commission. First: What can be done to expand the
5 current research environment, and can the private sector
6 stimulate CAM research?

7 It is clear, I think, from the number of
8 speakers both today and yesterday, that we do need solid,
9 basic research to be carried out to provide the
10 fundamental knowledge of the mechanism of action of
11 complementary and alternative medicine agents and
12 practices, on ways to identify active components in
13 complex mixtures, to carry out bioviability studies,
14 basic pharmacology and toxicology studies. We also need
15 robust clinical protocols to verify that complementary
16 and alternative medicine agents and procedures truly
17 produce the claimed clinical benefits.

18 Now, private industry can help these efforts
19 through research collaborations with academic and other
20 research groups, and NIH, and foundations, as mentioned
21 this morning. A number of such collaborations could be
22 cited as precedent, including the Human Genome Project,

1 the SNP Consortium, and a recently funded Alliance for
2 Cellular Signaling.

3 I think, also, we should keep in mind that
4 there are a couple of mechanisms available using, for
5 example, the Small Business Innovation Research, or SBIR,
6 grants. In the Technology Transfer, such as the
7 Cooperative Research and Development Agreements, or CRDA
8 agreements.

9 The second question posed is: What types of
10 incentives are needed to stimulate research on
11 complementary and alternative medicine practices, and
12 what are the obstacles and solutions?

13 In order to provide the incentives to private
14 industry, intellectual property issues must be resolved.
15 Even assuming significant consumer demand for a product
16 or device a reasonable business plan cannot be
17 constructed to enter that market unless some marketing
18 protection is available in return for substantiating the
19 public health benefits of a product.

20 Additional approaches, such as legislative
21 exclusivity remedies, for example, something akin to the
22 Ortho-Drug program, may be necessary in order to provide

1 incentives to establish that these products are safe,
2 effective, and have desirable public health benefits.

3 Finally, without established and transparent
4 regulatory pathways, well established and well-meaning
5 corporations, like J&J and others, cannot fulfill the
6 public health need for these medicines, complementary and
7 alternative medicines.

8 The third question: How can complementary and
9 alternative medicine and conventional research
10 communities be more effectively integrated to stimulate
11 and coordinate research?

12 A number of speakers have addressed that, so I
13 will just be brief in added some of these. First of all,
14 I think we do need to expand communication between
15 traditional and conventional medical experts, and many
16 speakers have addressed that. I think we do need to
17 stimulate review and acceptance of high-quality
18 complementary and alternative medical research in
19 publication for top journals, scientific and medical
20 journals.

21 Third, cooperative effort between private and
22 federal funding needs to be stimulated in this area.

1 Fourth, a rigorous review process involving both academic
2 and industry scientists should be put in place to
3 evaluate scientific-merited proposals. I think that
4 would stimulate and foster some of these collaborations
5 that we are looking for if that is carried out.

6 Then finally, as I mentioned, protected
7 marketing rights that will allow for substantial,
8 meaningful, and costly programs must be put in place.

9 In conclusion, acknowledging that intellectual
10 property rights must be respected, we wholeheartedly
11 endorse of coordinated research programs between private
12 and federally funded, and between traditional and
13 conventional medical investigators in order to transform
14 medical science into the health care products and
15 services that American consumers want and need.

16 We also see a need for discovery research in
17 this field, using, for example, the modern things that we
18 know about, using gene expression arrays, or proteolytics
19 to identify new bioactive substances in natural products.

20 Also, to use the emerging field of
21 pharmacogenomics to individualize therapies. I think I
22 disagree with a previous speaker who mentioned that these

1 data are always going to be probablistic. We are
2 learning more and more ways now, using pharmacogenomic
3 analyses to individualize a therapy.

4 Finally, we would welcome the opportunity to
5 share our learning and experience in order to advance
6 both medical science and the public policies surrounding
7 this opportunity. Thank you.

8 DR. GORDON: Thank you very much, Dr. Ruddon.

9 The next speaker will be Dr. Frank Sciavolino
10 from Pfizer.

11 DR. SCIAVOLINO: Thank you, Chairman Gordon,
12 Commissioners, Dr. Groft. Thank you for the invitation
13 to present comments from the private sector to the White
14 House Commission on complementary and alternative
15 medicine policy.

16 The focus of my comments this morning will be
17 on one aspect of CAM, drugs from botanical or herbal
18 sources. I will also comment on what we think is needed
19 to stimulate CAM research as well.

20 Several years ago, we established a research
21 and development initiative at Pfizer to assess whether
22 botanical remedies represent a viable source of new

1 prescription medications. We instituted this program
2 because nature has traditionally been a fruitful
3 harbinger of new medicines.

4 By some accounts, nearly 60 percent of all
5 pharmaceuticals have their origins in natural products.
6 Morphine, cortisone, penicillin, paclitaxil, luvistatin
7 [ph], are but a few examples of prototypical molecules
8 occurring in nature that have led to medicines which are
9 safe, effective, and quality-assured.

10 The largely empirical screening methods of so
11 many of these useful natural product-derived drug
12 discoveries during the middle of the last century have
13 now fallen into disfavor, largely because they are highly
14 labor intensive initiatives and they are becoming
15 increasingly unproductive.

16 More recently, R&D in the pharmaceutical
17 industry has toward molecular design and the use of
18 automated chemical technology to synthesize huge
19 libraries of compounds that can be screened by Ultra-I
20 throughput methods against novel biological targets.

21 The revolutionary advances in molecular
22 biology, genetics, robotics, in information technology,

1 have propelled drug research in this new direction.
2 However, the link between pharmacological activity
3 against newly discovered molecular targets and clinical
4 effectiveness in specific disease indications is not
5 always clearly understood, nor fully charted, despite the
6 best efforts of our brightest minds.

7 Consequently, clinically validated mechanisms
8 and clinically effective new chemical entities remain
9 highly prized milestones in drug research and
10 development. The road from a new idea to a new medicine
11 is long, it is treacherous, and it is expensive. This
12 journey from a newly observed biological concept in the
13 laboratory to a medically available registered drug
14 typically takes 10 to 15 years at a cost which has been
15 estimated by the Tufts University Center for the Study of
16 Drug Development to be in the range of \$400- to \$500
17 million.

18 A key factor contributing to the time and
19 expense metrics associated with drug development is
20 attrition. Most drug candidates nominated by sponsors
21 for clinical development fail to become registered
22 pharmaceuticals. For every five to six drug candidates

1 which reach the investigational new drug stage, the
2 INDIVIDUAL status, industry experience indicates that
3 only one becomes a product.

4 The majority fail for a variety of technical
5 reasons, most of which involve safety, efficacy, and the
6 benefit-to-risk judgement, but other less prominent
7 parameters such bioavailability and stability may
8 contribute to the decision to halt development. This is
9 the reality of pharmaceutical R&D today.

10 With the passage of the Dietary Supplement
11 Health and Education Act, DSHEA, in 1994, a resurgence of
12 interest in herbal medicines is beginning to take hold,
13 and natural products are again attracting attention as a
14 potential source of new drugs. The seminal change that
15 is kindling fresh interest in nature as a reservoir of
16 useful medicines is the increasing recognition of herbal
17 medicine by regulatory agencies.

18 Enabling policy changes dealing with botanical
19 drug regulation are beginning to be addressed. The draft
20 guidance for industry on botanical drug products, which
21 the FDA published for comment in August of this year,
22 appears to be a meaningful first step toward opening the

1 door for sponsors to conduct randomized, controlled
2 clinical trials to confirm the efficacy of botanical
3 mixtures in patients.

4 This impending change could shift the focus of
5 natural products research from what was largely a
6 laboratory effort seeking lead structures for chemical
7 modification to a clinically driven initiative with
8 product orientation. Final guidance from the FDA on the
9 registration of botanical drugs would be a landmark event
10 that could stimulate investment in this area by
11 interested sponsors.

12 In our Natural Medicines Initiative at Pfizer,
13 we coined the term "naturceuticals" to designate
14 prescription drugs of plant or natural origin that have
15 been rigorously characterized for safety, efficacy, and
16 quality.

17 The development paradigm for a naturceutical
18 differs from established pharmaceutical strategy, in
19 that, it seeks to rapidly address clinical efficacy up
20 front with candidates having anecdotal or folklore
21 histories of use in man. Opportunities with proven
22 clinical efficacy then become the subjects of more costly

1 investments for full-scale registration of a safe and
2 effective product.

3 If botanical medicines are to be developed as
4 true pharmaceuticals, that is, naturceuticals, their
5 development must be conducted to the highest standards of
6 safety, efficacy, and quality. There can be no
7 compromise on the science or medicine of naturceuticals.
8 We see the level of investment that would be required for
9 the NDA registration of a naturceutical to be comparable
10 to that of a pharmaceutical.

11 Therefore, a key issue in developing botanical
12 medicines is the need for an incentive mechanism to
13 provide for a return on investment. One possibility is a
14 Waxman-Hatch type data or marketing exclusivity period
15 that would allow the sponsoring investor to fully develop
16 the medical and commercial potential of natural
17 medicines.

18 Nature has been an unparalleled source of
19 unique chemical structures with extraordinarily potent
20 pharmacological activity. We urge the Commission to
21 recommend enabling policy that will provide incentive for
22 the private sector to invest more substantially in

1 research and development of natural medicines. Thank
2 you.

3 DR. GORDON: Thank you very much.

4 The next speaker will be Mark Blumenthal from
5 the American Botanical Council.

6 MR. BLUMENTHAL: Good morning, everybody.
7 Howdy, and thank you for inviting me to be with you all
8 this morning. I want to also congratulate for being
9 appointed to this important group.

10 To optimize time, I have provided some written
11 overview of the mission, publications, and projects of my
12 non-profit organization, the American Botanical Council,
13 but just to let you know that since 1988, we have been
14 dedicated to educating on scientific research on herbs
15 and phyto medicines, and stimulating such research in the
16 United States. Since 1983, our publication,
17 "Herbalgram," has reported on results of foreign research
18 on herbs when information on herbs is almost non-existent
19 in the medical and scientific literature in this country.

20 The federal government obviously has made
21 excellent progress in funding clinical research and the
22 other botanicals. I will speak only on the issue of

1 trying to provide some private sector initiatives, of
2 course, and incentives. That is my primary issue today,
3 and not coordination but the incentives.

4 Basically, the White House Commission on
5 Dietary Supplements in 1997, in its report, dealt with
6 this issue by saying, "A manufacturer lacks incentive to
7 expend resources for research that might benefit
8 competitors as well as itself. In other words, there is
9 no proprietary interest here.

10 We dealt with this issue back in 1986 in an
11 article by Jim Duke in Herbalgram when he talked about
12 the high cost of pharmaceutical drug registrations and
13 approvals basically requires federally funded natural
14 product research because at that time it was not going to
15 come from the private sector.

16 The CDSL, the Commission on Dietary
17 Supplements, also wrote that, "The FDA might consider a
18 mechanism for review of research conducted to validate a
19 statement of nutritional support so the label disclaimer
20 mandated by DSHEA could be modified or removed."

21 In other words, there was guidance for the
22 possibility that the FDA has not evaluated the claim, and

1 the product is not intended to treat or mitigate a
2 disease might be considered for removal if a product met
3 certain requirements for research, although that has
4 never been followed up on, to my knowledge.

5 Now, in the area of research, there is a clear
6 correlation between what is research, especially in
7 Germany and the rest of western Europe, and what products
8 are the top-selling products in the United States. If
9 you look at all the top-selling products in the United
10 States in the mass market, except for the inclusion of
11 Golden Seal in the top 10 or 20, which has no research in
12 it for the last 60 years, almost every one of these herbs
13 are the most well researched ones from western Europe,
14 ginkgo, garlic, ginseng, palmetto, et cetera.

15 So there is a clear correlation between funding
16 of research, even though it is coming from out of the
17 country mostly right now, and the success of these
18 products in the market, success, at least, generically
19 speaking, and I will provide documentation of that in my
20 written comments.

21 Centers for manufacturers ought to be able to
22 publish clinical studies that deal with their specific

1 product and get that information into current medical
2 journals and in the news, especially when that publicity
3 is tied to the brand that is studied. One of the primary
4 obstacles that we are dealing with right now is
5 regulatory, the lack of government recognition of the
6 benefits of herbs.

7 Because most of published clinical research
8 comes from Germany, it is instructive to look at their
9 regulatory system there, where they have a so-called
10 Commission E, which is a federally empaneled group of
11 experts, of physicians and pharmacists, that evaluate all
12 the published literature out of the 300 herbs that are
13 sold in pharmacies as non-prescription drugs to determine
14 whether these herbs are safe and effective for their non-
15 prescription drug uses. Then they publish their results
16 in these monographs which are intended as package inserts
17 for therapeutic guidance for clinicians as well as for
18 patients.

19 There in Germany, you have a recognition that
20 these herbal products have benefits, and the government
21 recognizes that in the product itself. Therefore, the
22 research that is being generated in Germany today is not

1 being done for regulatory approval because they are
2 already approved. It is done for market considerations.

3 The companies' research is published in the
4 journals, and then the detail going to the doctors and
5 saying, here is our study on R-ginkgo or R-garlic,
6 whatever, and doctors recommend them. Half of the herbs
7 sold non-prescription in Germany are basically semi-
8 ethical, doctor-recommended or doctor-prescribed. So
9 there is a very strong impulse in the German marketplace
10 where physicians are recommending certain brands of phyto
11 medicines.

12 Sadly, in the United States, excepting for a
13 few herbs approved as OTC and drug ingredients and
14 silvium for an NLEA health claim, our government does not
15 recognize health benefits for herbal preparations. This
16 results in exaggerated public health concerns when
17 adverse event reports appear in the media against a
18 backdrop of no recognized benefit, having a negative
19 effect on the public's view of herbs, as well as
20 professional view, contributing to a loss of public and
21 professional confidence in this category, often
22 unwarranted.

1 So if promoting information on herb research
2 presents a unique set of challenges dealing with
3 phytoequivalence, science, and generitization. Let me
4 explain. Much of the European research is done on the
5 proprietary commercial phyto medicines, often chemically
6 defined and standardized. The question arises then, when
7 can results of the trial on a specific commercial product
8 be transferred to a purportedly similar and/or different
9 product? When is the science transferrable? It is a big
10 issue, not often discussed in the open market, but it is
11 there.

12 In some cases, clinical research might be
13 transferred to products that can prove phytoequivalence
14 or bioequivalence through bio assay and/or chemistry, but
15 this depends on formulations involved, designs of studies,
16 et cetera, and these questions play the open market
17 agenda in the United States right now.

18 Further, the expropriate of European research
19 in the United States has caused some European phyto
20 medicine companies to become concerned over the borrowing
21 and generitization of their research efforts over here.
22 Similar concerns face many U.S. companies that are doing

1 clinical trials on products, unless they can assure that
2 the results of their research is not unfairly used by
3 their competitors.

4 It is important to note that DSHEA has been an
5 agent for promoting herbal research in the United States.
6 A DSHEA has supported the research. New private research
7 has been funded in herbs here in the United States with
8 the passage of DSHEA since most of this has been tied to
9 INDs and most of it has yet to be published it is often
10 difficult to determine how privately funded studies are
11 in process or are pending publication, if at all.

12 The estimates are rumored to be within 50 to
13 100, or possibly more. In the area of private sector
14 funding by members of the herb industry, there are
15 numerous examples that I could tell you about specific
16 products, but time does not allow this elaboration. They
17 are in my written comments.

18 And there are numerous incentives we can
19 discuss. One obvious incentive is exclusive claims,
20 usually for a drug, usually requiring an MDA, very
21 expensive, as we have just heard. Many of these herb
22 products are OTC-type products, often sold as OTCs

1 overseas, but there is no market protection for OTC
2 product claims unless the company files for an FDA. OTC-
3 monographed claims are not protected by market
4 exclusivity.

5 The recently published FDA guidance document on
6 botanical drug products allows a five-year market
7 exclusive if a company has fulfilled NDA requirements.
8 Right now, in Congress, the Nutraceutical Research and
9 Education Act, NREA, bill proposes exclusivity for
10 nutraceutical for 10 years. At least one acceptable
11 clinical study is required, according to the bill, and
12 borrowing of research is not allowed, unless the research
13 supports the present study, the new study.

14 Small manufacture exemptions would be allowed
15 to pool resources and share claims with small companies
16 from their combined research efforts. Claims under NREA
17 would deal with disease prevention, reduction and disease
18 management, but yet, public support for this bill is not
19 clear.

20 Is there a reason to conduct clinical research
21 on herbs sold as dietary supplements, not as drugs? Yes.
22 People want benefit-related research, not just an

1 explanation of mechanism of action or identification of
2 active compounds.

3 Do we want all companies to have to conduct
4 studies on every product, like every garlic and every
5 ginseng product? Are there sufficient financial
6 resources among the industry to do this? Are there
7 enough contract research organizations and other centers
8 to conduct these studies?

9 I would like to suggest some government-
10 sanctioned incentives that could help promote research.
11 First is, structure/function claims might be approvable
12 by FDA. FDA approval of structure/function claims might
13 promote research. This is under DSHEA. However, this
14 may lead to other problems, like long delays and
15 additional cost, and whether this conforms with Congress'
16 original intent for DSHEA.

17 Further, the CDSL suggestion that modification
18 of the Dietary Supplement disclaimer, when its removal
19 from a product that has undergone some clinical study
20 review, I believe is worth your consideration.

21 There is the issue of patents. Herbs cannot
22 qualify for composition of matter patents, like

1 pharmaceutical drugs. However, since DSHEA, the U.S.
2 Patent and Trademark Office has been very active in
3 granting process patents and use patents for botanicals.
4 These represent potential incentives. However, some use
5 patents may not be able to withstand possible future
6 challenges based on their claims, possibly coming from
7 the public domain and traditional use.

8 The case of the recent reversal of the tumeric
9 patent is a glaring example when India protested against
10 the U.S. Patent Office's allowance of that patent,
11 because tumeric has been used in Vedic medicine for so
12 long.

13 There are also potential tax incentives, maybe
14 some tax credits to companies for funding research might
15 be considered. Also, important, I think, is the creation
16 of an expert review panel. Consistent with
17 recommendations of the CDSL, the government should
18 establish an expert review panel similar to Commission E
19 to evaluate the safety and appropriateness for claims for
20 herbal products, both the structure/function claims under
21 DSHEA and as OTC drugs.

22 Trademark value is a very important commercial

1 commodity, and developing consumer recognition and
2 confidence in a trademark is a strong motivator for
3 research investments. With the herb market filled with
4 such a wide variety of products, many of them looking
5 very generic, companies are trying to establish a clear
6 identity and differentiation. The recent example of
7 Smith-Kline Beecham introduction of Laluna, I believe, is
8 a very useful example.

9 Finally, we must find a viable balance among
10 free market and competitive issues, science, regulation,
11 and the need to respect cultural heritage, and
12 traditional use. It is likely that some of the highest
13 quality herbal products produced in the United States are
14 made by some of the smallest companies. Allowing larger
15 companies who are able to invest in research to satisfy
16 exclusivity requirements may discriminate against small
17 businesses, posing serious economic and social problems.

18 The problems we are dealing with here require
19 legislative solutions, administrative attention, industry
20 time and attention, and commitment and cooperation.
21 Responsible elements in the herbal community are looking
22 for solutions and assistance on these issues from both

1 within and outside the industry.

2 I thank you for your time and attention in
3 allowing us to be here today.

4 DR. GORDON: Thank you very much.

5 Dr. Annette Dickenson from the Council for
6 Responsible Nutrition.

7 DR. DICKENSON: Thank you for the opportunity
8 to be with you here today and to share some ideas on
9 private sector involvement in stimulating research on
10 CAM.

11 The Council for Responsible Nutrition, which I
12 represent, is a trade association representing more than
13 110 companies in the dietary supplement industry. Our
14 membership includes companies that supply the bulk
15 ingredients used in dietary supplements, as well as the
16 national brands and store brands of finished products
17 that are available to consumers through all types of
18 retail outlets, including supermarkets, drug stores,
19 discount chains, health food stores, direct sales, mail
20 order, and the Internet.

21 Our member companies provide all types of
22 dietary supplements that are covered by the Dietary

1 Supplement Health and Education Act, including vitamins,
2 minerals, amino acids, botanical products, and specialty
3 supplements. CRN was established in 1973, and prides
4 itself on developing policies and programs for dietary
5 supplements based on sound science. CRN science staff
6 currently includes four individuals with expertise in
7 nutrition, toxicology, natural products chemistry, and
8 genetics.

9 We are in the process of creating a new
10 executive position charged with the specific
11 responsibility to identify opportunities for research
12 partnerships with government and with academia, and to
13 help industry members take advantage of such
14 opportunities to maximize their own contributions to
15 current scientific evidence.

16 As part of this effort, we will also seek to be
17 actively involved in the development of agency research
18 agendas and the establishment of priorities based on
19 optimizing impacts on public health. Dr. Cathy Frohmus
20 [ph] of our staff will be taking on these
21 responsibilities, and I believe she is known to many of
22 you.

1 We are pleased to be able to offer some
2 suggestions on the role of the private sector in
3 stimulating research into CAM from the perspective of the
4 dietary supplement industry. Regarding ways in which the
5 private sector can stimulate CAM research, we believe
6 industry should be encouraged to submit its studies to
7 peer review journals for publication. Industry should
8 also provide data from its unpublished studies to assist
9 in selection of therapies for further study and to
10 contribute to study design.

11 For example, these data may help narrow dosage
12 ranges for Phase I studies and alert investigators to
13 potential side effects. Industry could provide the
14 vitamins, minerals, botanicals, and other dietary
15 supplement ingredients for clinical studies, and also
16 assist in the preparation of appropriate placebos, not an
17 insignificant task.

18 Industry could cosponsor symposia or
19 conferences to provide networking opportunities between
20 researchers and clinicians. For example, CRN is
21 currently planning one such conference in November of
22 2001 in cooperation with the American Society for

1 Pharmacognosy. Regarding some of the obstacles and
2 solutions that exist in industry cooperation in this
3 effort, we would suggest that it would be useful to
4 convene meetings with journal editorial boards to solicit
5 recommendations which would increase the acceptance of
6 papers submitted by industry, which face some barriers to
7 that acceptance.

8 We believe it would be useful for industry,
9 especially for smaller companies in the industry, to
10 generate a clinical trials guidance document outlining
11 the standard components and proper design of study
12 protocols. CRN's Dr. John Cartelina [ph] is currently
13 working on this type of document and will be seeking
14 partners to contribute to its content and enhance its
15 value. Resources that will be used in preparing the
16 guidance document include existing NIH guidelines and
17 information being compiled by the International
18 Conference on Harmonization.

19 A mechanism is also needed for industry to
20 share study results that may involve proprietary
21 information. The soon-to-be-launched ODS CARDS database,
22 which I think was discussed to some extent yesterday,

1 might serve as a repository for a listing of unpublished
2 studies identifying the lead investigator or contact
3 person in order to facilitate communication.

4 We believe that standardized products that are
5 commercially available should ideally be used in clinical
6 trials when possible. If special formulations are used
7 that the consumer cannot buy off the shelf, the study
8 results don't have the immediate translation to the
9 direct population.

10 Industry needs to form partnerships with
11 clinicians or academia, depending on the type of study.
12 Various mechanisms are needed to bring together these
13 disparate communities, as has been emphasized earlier
14 today. CRN is in the process of establishing a dietary
15 supplement information center in cooperation with a major
16 academic institution. We believe this center, once
17 established, could also play a role in this regard.
18 Also, a special web site could be established to
19 facilitate communications regarding ongoing research
20 needs and opportunities.

21 In response to the question regarding how CAM
22 research can be better coordinated with federally

1 supported CAM research, we believe industry
2 representatives need to participate on advisory panels,
3 particularly at NIH. A visible representative can
4 address the concerns of clinicians and of government
5 officials, and serve as a bridge between the public and
6 private sectors.

7 A primer on funding mechanisms for research and
8 conferences within government agencies that apply to
9 industry should also be compiled to explain, for example,
10 cooperative research and development agreements, small
11 business grants, investor-initiated grants, and
12 contracts.

13 We believe ongoing research and potential
14 funding opportunities need to be more visible, and
15 private studies need to be added to government databases.
16 For example, CRISP is an excellent tool to seek
17 information on federally funded studies, however it needs
18 to be updated more expeditiously and expanded to include
19 clinical trials that are not federally funded.

20 The ODS CARDS database will target dietary
21 supplement research. The project should receive
22 sufficient funding to include non-governmental funded

1 research, also, and be updated expeditiously. NCAM
2 serves as an excellent model for its open and visible
3 process in determining priorities and future research
4 projects.

5 Concept ideas are discussed at its advisory
6 council meetings. Approved concepts are posted on the
7 web site, and within a few months, a request for
8 applications is issued and also posted on the web site.
9 A document explaining, for industry use, how each
10 research agency determines its research agenda would also
11 be extremely helpful.

12 We believe consensus conferences would also be
13 a useful tool to highlight CAM therapies and remaining
14 research gaps. For example, a conference on the safety
15 and value of ephedra for weight loss could make a
16 valuable contribution in this highly controversial area.
17 A conference on St. John's wort should be held, we
18 believe, soon after completion of the NCAM NIMH-funded
19 study which is now centered at Duke University.

20 We appreciate the opportunity to share some of
21 these ideas and to continue to work with you in the
22 future. Thank you.

1 DR. GORDON: Thank you very much, and thank you
2 for the very specific suggestions. It is extremely
3 helpful. Thank you, all of you. You are opening up
4 whole other perspectives for us, which are really very
5 important.

6 Are there questions from Commission members?
7 Yes. Tom, and then George.

8 MR. CHAPPELL: Thank you all very much. It was
9 really very helpful, very clear.

10 I guess I would like to pursue the question,
11 Dr. Ruddon, if I may, of the proprietary interests that
12 need to be part of the total picture. If we imagine the
13 marketplace that consumer is driving currently,
14 consisting of, let's say, single herbs, some OTCs that
15 can be formulated, a decongestant, for instance, and then
16 pharmaceuticals.

17 Do I understand that your suggestion is that we
18 have private industry find a way to protect the marketing
19 long-term exclusivity on all three categories? Just the
20 last? Could you help me understand these gradations and
21 where you see private industry expecting to be protected
22 for the high, up-front investments.

1 DR. RUDDON: I think, to some extent, it is all
2 three categories. It is certainly in the area of
3 pharmaceuticals. OTCs probably somewhat less so, but
4 certainly still included.

5 I think even in the area of nutriceuticals and
6 natural products, there needs to be some kind of
7 competitive advantage or proprietary protection that
8 would allow the investment and the research that has to
9 go into these agents to prove clinical efficacy.

10 So that, even in the case of those agents, I
11 think the view is that there would need to be some kind
12 of proprietary protection, most likely, or frequently,
13 not around the chemical entity itself but around process,
14 extraction, production, and use of unique mixtures or
15 combinations of agents. I think there would need to be
16 some exclusivity around all three of these categories.

17 MR. CHAPPELL: Especially if an NDA is
18 required.

19 DR. RUDDON: Especially if an NDA is required.

20 MR. CHAPPELL: Thank you.

21 DR. GORDON: Mark, is your light on because you
22 wanted to respond to that?

1 MR. BLUMENTHAL: Well, obviously, the NDA
2 process is very extensive, and it is going to be a
3 barrier to anybody except the larger companies.

4 The issue of exclusivity for dietary
5 supplements is something that is being discussed as part
6 of this bill that is NREA, allowing 10 years of
7 exclusivity if you came up with one clinical study that
8 was acceptable.

9 I am not sure that is going to get a lot of
10 industry support because, obviously, everybody is going
11 to be like a land rush to grab one claim for one
12 particular product, and then try to tie it up. Then you
13 run into the issue of, how does that deal with the fact
14 that herbs have been part of our heritage. We all own
15 the right to use herbs for various things. Does that
16 translate to the commercial sector? And to what extent
17 does allowing people exclusivity in the area of dietary
18 supplements for herbs tread on our public heritage and
19 our traditional culture.

20 Those are issues that have been dealt with,
21 from the patent point of view, by the example of tumeric,
22 and then also iawasca [ph], where native shamans from

1 South America have petitioned the Trademark Office for
2 allowing a healing patent for iawasca, a traditional vine
3 of South America. The Indian Government protested the
4 Patent Office for allowing, I think, an inflammatory
5 patent for tumeric, which is part of medicine.

6 So we run into a lot of issues of intellectual
7 property and otherwise when we talk about allowing for
8 exclusivity for herbs as drugs and as dietary supplements
9 both.

10 DR. RUDDON: I would like to just respond to
11 that. I think we ought to be clear that we are talking
12 primarily about development of new products, not about
13 trying to gain exclusivity around things that people have
14 been taking for 1,000 years. I think we are talking
15 about new product development here, where there needs to
16 be exclusivity. A lot of investment goes into the
17 research, both basic and clinical to do that.

18 I don't think we are trying to gain exclusivity
19 around things that have clearly been in the public domain
20 for a long time.

21 DR. GORDON: Could you give an example of -- I
22 think this is a very helpful discussion between the two

1 of you -- an example of what you would consider a new
2 product as opposed to a traditionally used product.

3 DR. RUDDON: Well, I think, for example, many
4 of the agents that have been talked about already, St.
5 John's wort, ginseng, ginkgo, and so on. I don't think
6 one could foresee trying to have exclusivity around
7 those.

8 If, however, there were, let's say, some new
9 components of green tea that were identified and had
10 previously been known that might, either by itself or in
11 combination with other agents, provide a new approach, a
12 new therapeutic approach, yes, then I think one might
13 expect some kind of exclusivity around that.

14 DR. GORDON: You mean components extracted from
15 green tea.

16 DR. RUDDON: Yes, right.

17 MR. BLUMENTHAL: Pure compounds, however, are
18 usually excluded from the definition of herb or
19 phytomedicinal. So when you start getting into pure
20 compounds that have been extracted from a plant, that no
21 longer is the domain of herbal medicine. That becomes
22 pharmaceutical drug, and that is a distinction.

1 To give you an example of a common ingredient
2 that exclusivity might become a controversial in, let's
3 take something as common as peppermint. Peppermint,
4 right now, is not included in the over-the-counter drug
5 monograph for digestive aids. So it is basically just a
6 dietary supplement, and now FDA has allowed supplements
7 to be able to make heretofore unallowed claims in the
8 area of over-the-counter drugs if it is not a disease
9 claim.

10 So digestive aids, not being a disease has now
11 allowed for peppermint. Somebody, theoretically, under
12 some schemes that might be proposed, could make a land
13 rush for peppermint for a digestive aid and try to tie
14 that up. Of course, that would make it a real problem
15 for after-dinner mints. It is part of our culture.

16 I am just trying to raise these issues.
17 Hopefully, that is not an extreme example.

18 DR. GORDON: It is helpful.

19 Dr. Scaviavolino.

20 DR. SCAVIIVOLINO: Maybe I can contribute in an
21 example from Pfizer that may put some perspective on this
22 for you. We are pursuing at this time an opportunity

1 that we found through a botanical company in England
2 called Phytopharm, who in turn found an opportunity from
3 South Africa. This was a material which has been
4 publicly designated as P-57, which was used by Hottentots
5 and bushmen in South Africa who were going into the bush,
6 going into the jungles and realized that they may not
7 have access to food for some periods of time.]

8 In the folklore associated with this, if they
9 ingested this particular extract, or sucked on the juice
10 from this particular plant, that their hunger pains,
11 their appetite requests, were suppressed. We have
12 studied this fairly carefully. There are very
13 interesting materials. We are pursuing it as a mixture
14 of materials, but not as single chemical entities.

15 DR. GORDON: You said you are pursuing it as a
16 mixture?

17 DR. SCIAVOLINO: We are pursuing it as a
18 mixture just as it has been used by the natives in South
19 Africa.

20 To make the point that what we are attempting
21 to do is very different from the ginsengs and the garlic
22 extracts, and that sort of thing. We are really pursuing

1 this from the point of view of looking for medicines that
2 could be potentially useful, that are not commonly in the
3 common use right now.

4 MR. BLUMENTHAL: Something like that could be
5 possibly subject to a use patent and process patents, but
6 probably not a composition-of-matter patent. So you have
7 several lines of protection there. Then you have the
8 problem that the Hottentots might come back, and that you
9 are giving them some intellectual property, and say, hey,
10 wait a minute; you are patenting our intellectual
11 property here.

12 DR. SCIAVOLINO: If you are going to deal with
13 this sort of thing, you really have to incentivize all
14 parties involved. So Phytopharm is involved, South
15 Africa is involved.

16 DR. GORDON: That is very helpful.
17 Tom, is your question finished yet?

18 MR. CHAPPELL: Thank you.

19 DR. GORDON: George is next, then Tieraona,
20 Wayne, Bill, and Effie.

21 DR. BERNIER: I have a question for Dr. Ruddon.
22 You have talked about expanding research

1 collaborations. Could you let us know with whom you have
2 developed these collaborations? Particularly in the
3 areas of SNPs or genomics.

4 DR. RUDDON: Actually, the study that I
5 mentioned at UC-Berkeley, part of that study is to use
6 the gene expression arrays to identify signatures that
7 indicate a particular pharmacologic activity.

8 So that is one example. There are a couple of
9 others that I could mention, but that is one idea of
10 using the emerging new molecular biologic techniques to
11 identify bioactive agents. So that is one example.

12 DR. BERNIER: Do you collaborate with people
13 who are interested in CAM?

14 DR. RUDDON: Well, this particular laboratory,
15 that is what they do.

16 DR. BERNIER: That is all.

17 DR. RUDDON: That is what they do. They are
18 focused on natural products, as antioxidants. So the
19 answer is yes, and there are other examples where we have
20 collaborated with an investigator. Rutgers looking at
21 green tea as a chemopreventive agent, and other tea
22 components. So yes, we do collaborate with investigators

1 working on CAM.

2 DR. BERNIER: Thank you.

3 DR. GORDON: Tieraona.

4 DR. LOW DOG: Thank you. Those were wonderful
5 presentations from all of you.

6 We have been focusing a lot, yesterday and
7 today, on larger concepts, conceptual ideas around
8 complementary and alternative medicine. This is an area
9 that is fairly specific. Dietary supplements and
10 botanicals, for the most part, can be subjected to
11 double-blinded, randomized, controlled trials, except for
12 individuation of therapy the way a lot of traditional
13 herbalists practice, but in the large sense that the
14 public uses.

15 My question, and since we are here to develop
16 policy and come up with ideas on how that helps the
17 public, I think many physicians' concerns about
18 botanicals and dietary supplements is, whose
19 responsibility is it ultimately to determine, less so the
20 efficacy at this point but safety, safety in children,
21 safety in a five-month old, safety in a 90-year old,
22 somebody with hepatic or renal insufficiency. Is it safe

1 during pregnancy? Can a lactating woman use it?

2 Sam-E, MSM, glucosamine, huperizia [ph]. It
3 just keeps coming out more and more all the time. While
4 we haven't had significant adverse effects, you can just
5 sort of see the writing on the wall. At some point, we
6 are going to have to be addressing this.

7 If we don't make incentives for companies to
8 pay for this sort of research, do we need to put the
9 government more involved in this? What are some of your
10 suggestions as far as this?

11 Because this is an area that I think is going
12 to hold back many conventional medical practitioners,
13 because they see this as an area that has been under-
14 investigated. We are still debating, whose
15 responsibility is that?

16 DR. DICKENSON: I think from the point of view
17 of the dietary supplement industry, we would view that
18 the company has the ultimate responsibility for the
19 safety of its product, regardless of what the target
20 population is, even in the case where there may be
21 questions about exactly what the regulatory
22 responsibility is on the company. Certainly, from a

1 liability point of view, the company has complete
2 responsibility for the safety of that product.

3 However, there are many questions such as
4 you mentioned, especially regarding use in pregnancy,
5 which came up in January through March when FDA had
6 tentatively proposed to allow the industry to use
7 statements about use of various products for morning
8 sickness, and quickly reversed that position when
9 questions were raised about what would be necessary to
10 confirm the safety of the product for those uses, not
11 simply in terms of lack of any knowledge of un-safety,
12 but in terms of proactive, positive demonstration of lack
13 of teratogenicity and for safety during pregnancy.

14 This clearly raises very broad questions in
15 which I think it is clear that the industry is going to
16 need partnerships with government in order to do those
17 kinds of studies if indeed it has concluded that the
18 positive demonstration needs to be made for each and
19 every one of these ingredients.

20 MR. BLUMENTHAL: One area of safety but one
21 that is not well known, the herbal industry, through its
22 trade association, the American Herbal Products

1 Association, put together a book in 1997 called
2 "Botanical Safety Handbook" in which they reviewed the
3 availability literature on over 550 herbs commonly sold
4 in the U.S. market, literature from monographs, clinical
5 studies, case reports, et cetera, and triaged the herbs
6 based on four levels of safety to try to establish a
7 uniform pattern of labeling under DSHEA for some of the
8 risks, contraindications, or drug interactions that was
9 now allowed by DSHEA for the first time for these
10 products.

11 So you have at least an industry-based baseline
12 of some 550 herbs that is, frankly, unfortunately, not
13 well known but could be helpful for people to look at.

14 DR. GORDON: Thank you.

15 Did any of others of you want to respond?

16 DR. SCIAVOLINO: I might just input a little
17 bit to that. At various meetings that we have sponsored,
18 and some focus panels, we agree that it has become very
19 clear that general practitioners, in particular and other
20 physicians as well, are reluctant, concerned, about
21 putting patients onto supplements for particular
22 indications without knowing particularly what drug

1 interactions are going to look like. There is very
2 little data that is available to them, and that is
3 certainly an area that needs to be looked into carefully.

4 From the guidance that the FDA put out last
5 month, or two months ago now, it is very clear that
6 companies seeking to go either the OTC or NDA route will
7 be able to get to early Phase II trials quickly, but to
8 obtain the full NDA package where physicians, then, could
9 be fully educated on the kinetics of the material, the
10 stability of the material, its interaction with other
11 medications, particularly seniors who will be on multiple
12 medications.

13 To have that full technical package available,
14 they are suggesting in the guidelines that a full,
15 typical NDA package for a pharmaceutical is what will
16 likely be required, with perhaps some concessions in the
17 CMC package, the chemical manufacturing, the controls
18 package.

19 So that is leaning toward generating this kind
20 of information for those who would do it through the NDA
21 route. So it would be a mechanism for generating that
22 kind of information.

1 DR. GORDON: Thank you.

2 Wayne.

3 DR. JONAS: This is a very informative
4 discussion, and thank you very much for your organized
5 and succinct remarks.

6 I had a question. Well, a number of questions.
7 The attrition rate. I was kind of puzzled by your
8 estimate that the costs for developing a naturceutical.
9 I love that term, another one we can add to our
10 potpourri.

11 If development of a naturceutical would be in
12 the same range of costs as developing a pharmaceutical,
13 and if the bulk is due to attrition, have you done some
14 estimates that in fact you think this would occur, at
15 least under current regulatory processes?

16 If those were changed, it seems to me, that it
17 would be possible to significantly reduce the likelihood
18 of the attrition rate, and therefore the cost, of
19 developing these products.

20 DR. SCIAVOLINO: One of the benefits that is
21 being suggested here is that being able to get early
22 Phase II data and establish efficacy and reasonable

1 safety in limited populations would certainly contribute
2 to the outcome, would potentially. I mean, this hasn't
3 been tested yet.

4 Data would have to be generated, but one would
5 assume that by generating that early data and showing
6 that you do have efficacy and you do have safety, then
7 the attrition might be reduced, which then would leave
8 you with developing just one material instead of having
9 to take five or six shots on goal to get to the final
10 product.

11 DR. JONAS: A lot of that would depend on
12 whether the guidance that is provided really helped to
13 shift this toward that kind of --

14 DR. SCIAVOLINO: Yes. Allowing early access to
15 Phase II trials could help.

16 DR. JONAS: Right. Process patents, how
17 valuable are those in terms of actually producing
18 incentivization? Mark, you mentioned there is the
19 question of challenging those types of things. The idea
20 of incentivization, to me, seems to be a very complex
21 task that involves both patenting as well as FDA
22 regulations, as well as a variety of other things, such

1 as copyright and getting one's name on it, and this type
2 of thing.

3 I am just wondering, is there a mainstream in
4 which incentivization could occur? Use in patent, would
5 that be the main focus, use in process patent? Or, does
6 that not exist?

7 MR. BLUMENTHAL: One thing about the process
8 patent, it is sometimes easy to get around that if you
9 develop a similar but different process, and the
10 difference in your process is significant enough to allow
11 the Patent Office to let you around or not, depending on
12 if you get into a contest with the person you are
13 competing with.

14 So process patent just deals with extraction or
15 development of the actual material. It is more of an
16 industrial issue, and the uses, of course, are obvious.
17 With herbs, of course, you can't get a composition-of-
18 matter patent, usually, because the herb is already
19 there. It is public domain.

20 Patents are obviously are a good way to go. It
21 has been very high on people's agenda in the last five
22 years since DSHEA came out, so obviously it is a great

1 incentive for a lot of people. I think, trademark is
2 ultimately your best asset because Coca-Cola and Band-Aid
3 says it all.

4 PARTICIPANT: Tylenol.

5 [Laughter.]

6 DR. RUDDON: I think I would agree with Mark.
7 I think that, depending on the size of the market, the
8 process or use patent would certainly be an incentive.
9 As we just mentioned a couple of products, certainly
10 branding, but yet, in order to gain that, you really need
11 solid evidence behind it.

12 DR. JONAS: I get the sense that that would
13 have a relatively small impact if that was all that
14 happened, that there would need to be some other
15 exclusivity protection, like you have mentioned, that
16 would require a lot more than that, and primarily around
17 the definition of, what is a new product that would allow
18 you to get this kind of information.

19 For example, the gene arrays of ginkgo, for
20 example, a well established product, we are now finding
21 under gene array screening that it was useful for certain
22 cancers. This potentially could be thought of as a new

1 use or a new product, perhaps.

2 DR. RUDDON: Yes.

3 DR. JONAS: I had one question for Dr.
4 Burkholder.

5 It appears to me, and I have been to a number
6 of biotech meetings where there has been a fair amount of
7 interest in CAM, but it appears to me that it has been
8 more curiosity than anything else. I get the sense that
9 the tech industry itself still is not quite sure. There
10 is not a lot going on in these areas, other than that
11 there is curiosity.

12 DR. BURKHOLDER: I can speak more directly to
13 the medical device and diagnostics makers and biotech,
14 but if it is any indication, Metronic certainly is
15 probably on the leading edge of that interest in CAM. A
16 lot of other companies, it is more curiosity, or they are
17 not, maybe, even at the curiosity stage yet.

18 DR. JONAS: My sense is there is practically
19 nothing. Even though what Metronic is doing on the
20 leading edge, it is still extremely small, from what I
21 can tell compared to the industry, certainly.

22 DR. BURKHOLDER: That is true.

1 DR. JONAS: Just one other thing. It would be
2 very useful, and this is in the whole area, to try to
3 think about some ways, and perhaps later on we can get
4 focused specifically about tech areas as well as the
5 devices industries as to, are there ways in which that
6 area can be incentivized, because I think that area is
7 dealing with a lot of the cutting edge technology in
8 these areas. So an interface with that, I think, would
9 be extremely useful.

10 DR. BURKHOLDER: Yes. I think for some
11 products it might be easier to apply existing regulatory
12 incentives in some ways. Acupuncture needles, I guess,
13 would be one example where they now do go through a
14 limited premarket process, just to at least establish
15 safety.

16 Another intriguing concept might be a use of
17 private or public reimbursement mechanisms as a means to
18 incentivize products. I guess the reason I find it
19 intriguing is that it already is essentially a non-
20 exclusive endeavor. At least in Medicare, if Johnson and
21 Johnson comes in and asks for Medicare of an
22 intracoronary stint, they can get the coverage, but

1 everybody else who makes stints gets the coverage as
2 well.

3 As much as that might spur development of data
4 to justify coverage, it might be an incentive.

5 DR. GORDON: Bill, and then Effie.

6 DR. FAIR: I have two questions, the first to
7 Dr. Dickenson.

8 Would you tell the panel, what is the purpose
9 of this Dietary Supplement Information Center? What gap
10 does it fill, and who will be served by it?

11 DR. DICKENSON: It would fill the gap that both
12 health professionals and consumers would actually prefer
13 to get information about health and safety of dietary
14 supplements from another third party group rather from an
15 industry group. We do provide some information, both to
16 health professionals and consumers.

17 Of course, I remember companies do directly,
18 but there is a demand for access to a third party
19 academic institution, other health professionals that are
20 knowledgeable about these products, that would not have
21 the commercial motivation.

22 So the purpose of the Institute would be to

1 make available to health professionals, perhaps through
2 an 800 number or perhaps through other avenues,
3 production of background materials and information. For
4 example, authoritative information on what is the current
5 state of the science on either safety or efficacy of some
6 of these ingredients.

7 DR. FAIR: Would that be a web site, also?

8 DR. DICKENSON: Ideally, there would be a web
9 site, an 800 number, a generation of printed and other
10 kinds of materials, the availability of these people to
11 present at professional meetings, for example, and other
12 areas where health professionals will be discussing these
13 issues.

14 DR. FAIR: And that doesn't exist now.

15 DR. DICKENSON: It doesn't exist in terms of a
16 group that is specifically focused on dietary supplement
17 type products. Obviously, there are numerous research
18 institutions that have special interest in specific kinds
19 of products.

20 For example, earlier it was mentioned that
21 numerous researchers at Berkeley have a particular
22 interest in antioxidant products. Numerous researchers,

1 at Creighton [ph] and elsewhere, have interest in calcium
2 type products, but there is not a center that covers that
3 whole range of dietary supplement ingredients.

4 DR. FAIR: Thank you.

5 The second question is addressed, I guess, to
6 the panel in general. I think probably some of you know
7 one of the leading ethnobotanist at the New York
8 Botanical Garden, Mike Ballick [ph], has spoken and
9 written that fewer than half of 1 percent of all the
10 plants on the planet have been identified for medicinal
11 uses. I assume that is a valid source.

12 Now, if that is the case, which seems
13 surprising to me, but if that is the case, it clearly
14 must be something more than disincentive to look at
15 plants. Other countries don't have the same regulatory
16 problems that we have. I hear a lot of talk about the
17 regulations and the lack of incentive, and so forth.

18 Is it the molecular design that has taken over?
19 What is the reason for that low percentage?

20 MR. BLUMENTHAL: First of all, I want to say
21 that Michael Ballick, Dr. Ballick, is on the Board of
22 Trustees of the American Botanical Council. So I know

1 him quite well, which might disqualify him as an
2 authority here now.

3 I believe the figures that Frederick Farnsworth
4 throws out is that 5- to 10,000 plants around the world,
5 out of a quarter to half a million vascular plants,
6 depending on if you are a lumper or a splitter,
7 botanically, how many plants there are. Five- to 10,000
8 plants have been fairly extensively studied for their
9 chemistry and/or pharmacology, toxicology, for compounds
10 in them, or whatever, and/or have been used in
11 traditional systems of medicine, basically.

12 Regarding incentives, from the pharmaceutical
13 perspective, I will let some of these gentlemen here
14 answer that, but a lot more than that have been screened
15 probably, especially with some of the high-throughput
16 screening processes like Phytopharm does in England for
17 compounds. But from a total medicinal point of view,
18 maybe 5- or 10,000, and many of them reflect those that
19 have been used in traditional medical systems.

20 DR. FAIR: But even that out of, what, a
21 quarter million plants, that is a small percentage. What
22 is the big problem? Are they deemed not worthy of

1 looking at?

2 MR. BLUMENTHAL: Well, first of all, those are
3 the plants that reflect what in ethnobotany comes down to
4 us historically as the plants that people have been
5 using. So there is a self-selection, or there is a
6 historical selection process over the last 60,000 years
7 that that 5- or 10,000 represents. It doesn't mean that
8 people have caught everything, but we do have give some
9 credence to the selection process of trial and error and
10 empiricism.

11 From a pharmaceutical perspective, I am sure
12 these gentlemen can give better answers, from a drug
13 discovery point of view.

14 DR. SCIAVOLINO: I think what has happened in
15 practical terms in the industry is, yes, there are
16 multitudes of plants that are out there and available for
17 people to look at.

18 As I pointed out in my comments, this was an
19 approach to discovering drugs some decades back. What
20 this has lead to, basically, is people making extracts of
21 materials and testing these extracts of materials through
22 standard screening methods. What that leads to is, by

1 and large, some activity against a number of enzymes or a
2 number of receptors. Then people go and they scale up
3 those materials, and go fish out individual components,
4 and then find that they followed some line of activity.
5 They may come up with a structure, but that has only got
6 10 percent of the activity that they were looking for,
7 and 90 percent is still remaining in the plant.

8 So it becomes a very labor-intensive and a very
9 unproductive way to proceed. So those things can be
10 done, but with the economics of drug research where it is
11 now, it really isn't a way to proceed.

12 What we are advocating, and what the FDA
13 guidelines are suggesting, is to be able to move some of
14 these materials with a minimal preclinical package
15 directly into Phase II studies. There you have,
16 particularly, for example, in chronic disease areas where
17 you may have multiple events going on, pharmacologically,
18 that could have an impact, instead of taking an
19 individual component out of those plants, determining the
20 structure, scaling it up, bringing a pilot plant into
21 play, having to do regulatory toxicology. You build up
22 an enormous bill just to get that single component looked

1 at.

2 On the other side of the coin, you can get the
3 whole extract of the plant, or some preparation of the
4 plant, into Phase II trials to see if the whole thing is
5 working, where you have got multiple components at play.

6 DR. FAIR: So what you are saying is, if I
7 interpret it correctly, that the regulatory problems in
8 the United States, or obstructions in the United States
9 notwithstanding, the reason that pharma companies in
10 other areas, Germany, Europe, China, whatever, are not
11 pursuing this more intently is that the molecular
12 techniques are easier and less expensive.

13 DR. SCIAVOLINO: They can move more expediently
14 in those areas now.

15 DR. GORDON: Effie.

16 DR. CHOW: I want to thank the panel for the
17 enlightening material.

18 I was especially glad you mentioned, Dr.
19 Sciavolino, concern about the reactive factor about herbs
20 to drugs, and herbs to herbs. Also, your last comment
21 brings me to what some of the concerns are. You talk
22 about the isolating factor of an herb, and then 90

1 percent is gone. This is what I think, in the cultures
2 that are practicing herbs, like, let's use China, as some
3 of the herbs are found, that if they isolate factors, it
4 just doesn't work.

5 Now, the thing is, also, there is a cultural,
6 social behavior, a psychosocial behavior, that goes along
7 with that. I am coming to a question. Medicine is known
8 for a lot of a side effects. I will give an example.
9 Chicone [ph] has reduced the side effects of medication
10 and, for example, chemotherapy has reduced most all of
11 the side effects, if used properly. It is really
12 amazing. There is a sense that herbs may fall into that
13 factor.

14 In research, is there thought about considering
15 researching the herbs, not as an isolated entity, even if
16 it is in the whole, but along with lifestyles, along with
17 behavior and attitudes, and all that? There are concerns
18 that it may fall into an isolated, let's use this herb
19 and it may work. I think it effects research, too, if
20 herbs are researched in isolation.

21 DR. SCIAVOLINO: I will input from a
22 pharmaceutical company point of view. My colleagues can

1 contribute as well.

2 We have not looked at this from a psychological
3 point of view, a quality of life perspective, at least in
4 the work that we are doing. We think that that component
5 might well reside in the physician's corner a little bit
6 more as the materials come about. Things certainly
7 aren't here yet. We would tend to look more at looking
8 at these arrays of extracts, not from an isolation point
9 of view, but now that gene research has advanced so
10 exquisitely well, such that, in a period of time not too
11 far down the road we will be able to see various
12 sequences, perhaps the entire sequence of the genome on
13 some type of an electronic computer chip, and that
14 looking at these extracts in this fashion might be able
15 to then get some mechanistic input, what is going on, not
16 from one receptor, or not from one enzyme system, but a
17 various cascade of things that are taking place in the
18 chronic disease states.

19 So you really could begin to generate some
20 insights along these lines. From a basic science point
21 of view, we are more interested in applying some of that
22 to the genetic side of things.

1 DR. CHOW: I guess your company, Dr. Ruddon, is
2 noted for its wellness component. So I hope that there
3 is some thought in that area.

4 I just want to make a comment. In supplements
5 Vitamin A, C, and E are known to become free radicals in
6 this isolated usage, and there is a push now for whole
7 vitamins, whole food vitamins.

8 If you have some comments on that, please.

9 DR. RUDDON: Yes, you are right. In fact, our
10 health care systems company isn't looking at, again, the
11 whole concept of health and wellness as a unified field,
12 not just as one component, or taking one sort of remedy.
13 So, yes, that is a focus that we have.

14 I think, though, that the cultural aspects of
15 that, I agree with Frank on that. That is something that
16 probably the health care provider needs to take into
17 consideration. I don't think certainly in the area of
18 product development, it is hard to do that when you are
19 thinking about the things that go on in product
20 development, per se. We need to identify if something is
21 active and safe, and so on.

22 I guess I would just comment that the whole

1 idea of an herbal product or an herbal remedy is
2 important. Frequently, as you mentioned, when you start
3 isolating individual components, you find out they don't
4 work, or the activity is lost. So there clearly are
5 interactions that we need to keep in mind.

6 Having said that, I think we still need to try
7 and identify what are the bioactive materials within a
8 mixture. There may be more than one. Then perhaps we
9 can even optimize what is in those mixtures to put
10 together, not necessarily a single pure component, but
11 the most efficacious mixtures of those components.

12 So we are aware of the fact. I tried to find a
13 single bioactive --

14 [Interruption.]

15 DR. GORDON: I think you said something
16 important.

17 [Laughter.]

18 DR. GORDON: Mark, go ahead.

19 MR. BLUMENTHAL: One of the things that
20 characterizes herbal medicines and herbal products, even
21 individual herbs, is often the combination of synergy of
22 multibly-acting, diluted active ingredients. Volarian

1 has never been able to be isolated for its one active
2 compound. When they are fractionated out, the central
3 nervous system depressant activity is less than when the
4 whole extract is given. So there is definitely synergy
5 there.

6 In the case of the Chinese medicine and other
7 forms of traditional medicine system like Ihrveda [ph]
8 from India where multiple herbs are used more often than
9 not, compared to European model where there is often just
10 single herbs being done, monopreparations, you have the
11 compounding the issue of how many active compounds are
12 actually in that chemical soup or that chemical cocktail
13 that you are taking of the herb, or the soup, or the tea,
14 or whatever is going on, the extract.

15 An interesting study design that is worth
16 looking at in trying to assess some Chinese herbs for
17 irritable bowel syndrome was published in November in
18 JAMA in '98 by an Australian herbalist named Alan Ben
19 Soussan [ph]. I think he is an acupuncturist.

20 The thing about Chinese traditional medicine is
21 that they do individualized treatment, so different
22 people will present with the same symptoms but they will

1 get different treatment based on their pulse and
2 characteristics. It is called differential diagnosis, as
3 most of you know.

4 In this study, it was a three-armed study, they
5 did a placebo, they had a standard formula of Chinese
6 herbs for the irritable bowel syndrome, and then each
7 patient in the third arm got individualized treatment ala
8 the traditional Chinese method. After a certain amount
9 of time, the standard formula of herbs was rated better,
10 in the mid term, than the placebo or the individualized
11 treatment, but at the end of term the individualized
12 treatment group had the best result.

13 So it was interesting that both the herb groups
14 had good results, but they were randomized differently,
15 and that is a very interesting way to test the
16 individualized treatment and a standard in a very
17 different model, or a paradigm for a clinical study.

18 DR. GORDON: Joe, I want to say to everybody
19 that we are running over time, and there are people
20 wanting to question again. If we are going to run over
21 time, we are going to go later this afternoon, and I want
22 to make sure that everybody is going be here to be with

1 the preventers.

2 DR. FINS: With that in mind, I will just ask
3 very quick questions. First, Dr. Ruddon, in any future
4 editions or current editions, which I may not be familiar
5 with, of Goodman and Gelman, will there be anything about
6 CAM?

7 I will just ask them all, and everybody can
8 respond.

9 Is the NDA a good, bright-line distinction
10 about when you want exclusivity, or do we need a middle
11 category as far as timeline to satisfy the competing
12 interests that we heard at the table?

13 Then finally, moving straight to a Phase II
14 clinical trial, presumed safety. Of course, Phase I
15 trials are for LD-50s and toxicity. At what point would
16 you say we would need to still have a Phase I trial, and
17 what kind of dosage escalations and things like that
18 beyond the conventional use?

19 DR. RUDDON: Yes. Your point about Goodman and
20 Gelman's Textbook of Pharmacology is a good one.
21 Unfortunately, there is a bias there. I got kicked off
22 the editorial board because I am now a part of industry.

1 So I really don't have any input to that anymore, but I
2 will suggest it to the editors. I think it is a very
3 good point. Whether it is scheduled for future editions,
4 I don't know, but I think it should be. I agree.

5 I think it would be acceptable to have a
6 sliding scale in the sense that an NDA route is certainly
7 going to have to have more proprietary exclusivity to it,
8 and I think it would be acceptable to have something less
9 than that for a nutraceutical. That is my opinion, not
10 necessarily my company's opinion, but I think that would
11 be true.

12 DR. SCIAVOLINO: On the safety question, we
13 actually have examples going on right now on those
14 categories. Where a substance has reasonably documented
15 evidence of administration to humans, for example they
16 may be open-label trials that were done somewhere in the
17 world where there is documentation available, the agency
18 is willing to accept that documentation for safety and
19 allow immediate progression to Phase II.

20 In other cases, where the documentation is more
21 in terms of folklore and really not much is written down,
22 we have actually done the regulatory toxicology to move

1 to Phase I. So we are probing it both ways.

2 DR. FINS: Was that at your discretion?

3 DR. SCIAVOLINO: Yes.

4 DR. FINS: Do you think we need regulations to
5 flesh that out a little more clearly, so that for safety
6 reasons we can defer to industry.

7 DR. SCIAVOLINO: From our own reasoning as an
8 organization, as a company, we would not be willing to
9 progress directly into Phase II trial without having
10 assured ourselves that we have got adequate safety.

11 DR. GORDON: I have just one question, which
12 may or not be quick. I hope, in a way, it is, but I
13 think it will lead us, perhaps, to further discussions.

14 The most critical point that I hear from
15 patients as I go around the country talking with groups
16 of physicians as well as patients, is very simple, how do
17 we know that what they say is in the bottle is really
18 there. I am wondering, particularly from your, Dr.
19 Dickenson, but others as well, what is the industry doing
20 to make sure that we know the answer to that question?

21 DR. DICKENSON: Let me say first that the legal
22 requirement under DSHEA is that the product deliver 100

1 percent of what it claims to deliver. So any product
2 that isn't delivering that is an illegally formulated
3 product and we would fully support and have told FDA in
4 many settings that we would fully support enforcement
5 against products that don't deliver that, because
6 obviously they give everybody a bad name.

7 In addition, though, since there doesn't appear
8 to be resources for that amount of enforcement at this
9 time, the industry is about to undertake a third-party
10 testing program in which we would contract with an
11 organization called the Institute for Nutraceutical
12 Advancement, which has been working for the last two and
13 a half years with industry support on developing methods
14 of analysis for many of the botanical ingredients.

15 We will be moving forward with them to extend
16 that to an actual individual product testing system in
17 which the companies would, up front, pay a licensing fee
18 for third-party testing, and then periodic retesting over
19 a period of time, potentially, in return for having some
20 kind of seal, although the seal aspect of that has not
21 been resolved, but certainly we do recognize that
22 consumers and health professionals are very concerned by

1 the number of products that fail these quantitative
2 tests.

3 We are trying to take expeditious action on a
4 self-regulatory system at the same time that we would
5 support strict enforcement on this as well.

6 DR. GORDON: That is the follow-up question I
7 wanted to ask. Are both necessary? Do you want a
8 different role for the FDA?

9 As you know, our charge is to make
10 recommendations for legislation. I am wondering what you
11 think we should recommend.

12 DR. DICKENSON: I think both parts of it are
13 necessary. I think, first of all, industry has to take
14 full responsibility and do whatever it can to assure that
15 products are what they are supposed to be, but I think,
16 unfortunately, there are always going to be those who
17 don't fully meet their responsibilities in this area.
18 For those companies, I think FDA does need to have and
19 exercise that enforcement authority.

20 Our perception is that one of the things that
21 is needed now is more enforcement resources for FDA on
22 the food side. Apparently, most of the funding that they

1 currently have for enforcement is in other centers on the
2 drug side, and they are under funded on the food side for
3 enforcement activity.

4 DR. GORDON: Thank you very much.

5 Yes, Mark.

6 MR. BLUMENTHAL: I fully agree with Dr.
7 Dickenson on this matter. Our organization has an open
8 seat on the INA Methods Validation Program Board. We
9 have been involved with this since the very beginning to
10 develop analytical methods for the raw materials and the
11 herbal products.

12 I was also one of the founding consultants for
13 the group called Consumer Lab.com, which I am no longer
14 associated with, but which has been doing a private for-
15 profit evaluation of herbal and other dietary supplement
16 products, and posting the passing products on their web
17 site, generating a lot of publicity and some degree of
18 controversy.

19 My own organization, ABC, has been involved for
20 six years in a \$1.2 million study of over 500 commercial
21 ginseng products that we have tested in two university
22 laboratories by analysis methods that we developed, which

1 are now becoming accepted by the AOAC, the Association of
2 Official Analytical Chemists, which will be a worldwide
3 acceptance as a regulatory method for determining
4 ginsengicides in Asian ginseng.

5 So we really have a lot of investment in this.
6 One of the things that is really interesting in our study
7 on ginseng is, we did a baseline study from 1994 to '95
8 -- some products were purchased before -- and after DSHEA
9 was passed, tested those products. After blind-testing
10 in two laboratories to determine if they passed or
11 failed, we then contacted the manufacturers and informed
12 them about our results before publication.

13 We went out and bought new products in '97, '98
14 from the companies, not directly, but from the
15 marketplace of the companies who failed our initial
16 ginseng studies, and then compared the products from '95
17 and the products from '97, '98, and we found a
18 significant increase in the quality of these products.

19 So one of the news reports that will come out
20 of our Ginseng Evaluation Program when we publish it in
21 "Herbalgram-51" in the early spring will be that there
22 has been significant improvement in the quality control

1 in the dietary supplement industry as seen through our
2 tests on the ginseng products.

3 That is the good news. The bad news is, you
4 still don't always know which products when you buy them
5 because there is not a universally accepted seal that is
6 trusted.

7 DR. DICKENSON: If I could add just one point.
8 Mark did mention the AOAC, which is the official method,
9 the body of official methods that is available for food
10 ingredients, including dietary supplements. USP has also
11 been undertaking an initiative for the last five years to
12 develop standards in the botanical area.

13 This INA program that we are going to be
14 working with will be coordinating both with AOAC and USP
15 to increase cooperation among all of these groups.
16 Ultimately, on the food side, FDA considers AOAC to be
17 the preferred method. So we will be feeding these
18 methods through their peer verified process.

19 DR. GORDON: Thank you. Thank you all very
20 much. I hope that we will be able to consult with you as
21 we develop other panels on public information and other
22 issues. So thank you very much for your help now.

1 We are going to adjourn for lunch. We will
2 come back here. We have to give people time to digest
3 their food, very important, so we will come back at 1:50,
4 1,5,0, to begin with public comment. That will give us
5 close to an hour. Public comment people can sign up
6 outside at the table.

7 [Lunch recess taken at 1:05 p.m.]

8 + + +

A F T E R N O O N S E S S I O N

[2:05 p.m.]

DR. GORDON: We will begin. Public comment will work the same way it did yesterday, which is where each of you 3 minutes, and then there will be a time to interact with the Commission after each talk.

The reason we do this, as I explained yesterday, is because unlike other panels, each of you is speaking on a topic that may or may not have much relation to the others. We want to have an opportunity to interact with each of you in turn.

So let's begin with Rick Levy.

Public Comment (Open Session)

DR. LEVY: I am Rick Levy, a clinical psychologist in private practice. Since 1966, I have been involved in psychospiritual healing approaches as well as mind-body treatment. During the last 20 years, I guess, much of my work is focused on developing the use of deep hypnotic states, plus energy-healing methods in treatment of catastrophic and chronic illness.

While being supportive of the wide range of CAM interventions, I wish, today, to argue especially for a

1 focused inclusion of mind-body-spirit practices in the
2 Commission's thinking. There is tremendous in various
3 CAM approaches, however I must argue that the role of
4 mind and spirit in the healing process probably still is
5 being vastly underestimated.

6 In the near future, I will submit a relevant
7 research literature review to the Commission which
8 focuses on the mind-body-spirit interventions, but please
9 let us properly value and promote the scientifically
10 based role of mind and spirit in healing physical as well
11 as psychological illness.

12 Now, I am talking about such interventions,
13 innovative methodologies like energy healing, oracle
14 meditation, regression, psychotherapy, relaxation
15 procedures, a wide range of alternative hypnotic
16 procedures, but also these mind-body-spirit procedures
17 can include more traditional mental health treatments
18 which are applied to new areas.

19 Examples include using traditional hypnosis,
20 behavior modification, cognitive therapy, reprogramming
21 techniques, and psychodynamic psychotherapy to impact on
22 catastrophic illness such as cancer, and not simply to

1 assist in patient adjustment to coping with such illness.

2 Currently, there are far too few properly
3 trained and credentialed CAM practitioners using such
4 methodologies. I propose that this commission contact
5 the relevant professional societies to begin ongoing
6 formal dialogue to develop recommendations on how to
7 operationalize the entrance of CAM interventions into the
8 traditional health care communities.

9 This is a most important recommendation and
10 lies at the heart of my testimony. I represent, as an
11 individual, the scientifically informed practitioner
12 community, rather than the research community. Of
13 course, I strongly support ongoing research, however now
14 is the time to directly bring to the public
15 scientifically based CAM interventions.

16 People are suffering and even dying every day
17 due to being denied access to CAM. In my clinical
18 practice I witness their plight each and every day, and
19 it is heartbreaking. We must do a better job of making
20 available CAM procedures here and now to those people who
21 need our skills.

22 This is why I strongly encourage this

1 commission to not only emphasize research but to also
2 emphasize development activities which promote CAM to
3 those in need. Here, I am only reinforcing what is part
4 of the stated mission of this commission.

5 Specifically, I request that this commission
6 begin formal discussion to develop recommendations to
7 this end with the professional societies representing the
8 relevant traditional and alternative practitioners. I,
9 for one, intend to be taking an active role in bringing a
10 focus on CAM interventions within the American
11 Psychological Association. Thank you.

12 DR. GORDON: Thank you very much, Rick.

13 Any questions? Joe.

14 DR. FINS: Could you help me understand better
15 the boundary lines between conventional psychotherapy
16 counseling issues that a psychologist or psychiatrist
17 might do, and when does it veer into CAM, definitionally?

18 DR. LEVY: Sure, at least as I see it. First
19 of all, I think that there are innovative methodologies
20 that simply are gradually coming into the mainstream, and
21 that is really what I was specialized in during my
22 career, helping promote them in. But then you have got

1 other methodologies which are well established. I use a
2 lot of cognitive therapy reprogramming methods in
3 subconscious mind work, treating people with cancer, for
4 example. I am getting a lot of people going into
5 remission with these combined with deep hypnotic states
6 and the energy-healing work.

7 Now, when you have got cognitive therapy or
8 some of the behavior modification -- I will use
9 systematic desensitization work as part of a traditional
10 hypnotherapy, for example -- those aspects of it really
11 are traditional methodologies, but they have usually not
12 been used to directly impact on the disease process
13 itself. Yet, combined with other methodologies, what I
14 have observed is, they are very helpful. That is, I
15 think, the boundary.

16 DR. GORDON: Any other questions?

17 [No response.]

18 DR. GORDON: Thank you very much, Rick. I
19 particularly appreciate your drawing our attention to
20 working with the professional societies. I think that is
21 a really, really important aspect.

22 DR. LEVY: I come from a research background.

1 I am the son of a famous cancer research scientist. I
2 grew up in the NIH research community. So I have all my
3 sympathies in that direction, but I am a clinician and I
4 would like to see this stuff get operationalized. I am
5 tired of being one of the few people out there in my
6 community.

7 DR. GORDON: Great. Hopefully, you won't
8 continue to be.

9 DR. LEVY: Yes. I do want to say this is the
10 first time I am addressing a body like this. I used to
11 do a lot of legislative work in past years, and I hope to
12 be doing more of this. I hope I am someone that you will
13 see around a little bit.

14 DR. GORDON: Thank you very much.

15 Ingrid Lucis [ph].

16 MS. LUCIS: Good afternoon. My name is Ingrid
17 Lucis, and I am the director of Government Relations for
18 the American Chiropractic Association. Due to a
19 scheduling conflict, Dr. Bruce Nordstrom who was
20 scheduled to be here today couldn't make it, so I will be
21 expressing the Association's views.

22 There is no question that as the public demand

1 for complementary and alternative care increases,
2 research must continue to ensure that safe and effective
3 CAM services are made available. Therefore, the
4 Commission, in its formal recommendations, must encourage
5 Congress to continue and increase funding for the
6 National Institutes of Health Center for Complementary
7 and Alternative Medicine.

8 While investigating the best approach to expand
9 and integrate and coordinate research, the Commission is
10 reminded not to reinvent the wheel. As you have heard,
11 currently there are many successful research programs
12 being conducted throughout the United States. The
13 Commission should continue to query these programs as to
14 what works and what type of incentives they need to
15 continue and facilitate additional research into CAM
16 practices.

17 In gaining insight into the types of research
18 currently being conducted, the Commission may also wish
19 to contact professional organizations representing
20 complementary and alternative providers. These
21 organizations are able to provide the Commission with
22 research organizations that are actively engaged in

1 research in CAM practices.

2 On the chiropractic research issues, the ACA
3 urges the Commission to remain in contact with two of
4 your panelists, Dr. Rossner from the Foundation of
5 Chiropractic Education and Research, and Dr. Meeker from
6 the Palmer Center of Chiropractic Research. As you heard
7 yesterday, both of these doctors are excellent resources
8 in chiropractic research.

9 In encouraging research, the Commission should
10 also make formal recommendations to Congress to change
11 regulatory guidelines that restrict and deny
12 reimbursement for complementary and alternative health
13 care in all federally funded programs. For example, the
14 Health Care Financing Administration announced a proposed
15 national coverage decision regarding Medicare coverage of
16 clinical trials and coverage of routine costs.

17 The Commission should encourage Congress to
18 instruct HCFA to also provide reimbursement for
19 complementary and alternative practices in these clinical
20 trials. However, in providing for clinical trials with
21 CAM procedures, HCFA as well as other researchers should
22 recognize the need for balance between clinical trials,