

1 and learning self care. And we do that with
2 first year students. I have been doing that for
3 all these years with a small group and we are
4 hoping to do that with all first year students
5 as time goes on.

6 And I think that this part is one that
7 goes along with the knowledge and the experience
8 of the CAM practitioners. They have to learn
9 about and experience themselves.

10 And my observation that we have not done
11 -- I sort of was -- had a little chagrin because
12 I realize we have not done our follow-up study
13 that we should have done but many of those
14 students are now practicing integrative
15 medicine. I do not know the numbers but a large
16 number are and some of them are running programs
17 as well.

18 And I think that that may be one of the
19 -- you know, it is not just the students who
20 spent a month with me. It is the students who
21 in the first year had this very powerful and
22 supportive experience that helped them deal with
23 some of the viscidities of residency training a
24 little bit better.

25 DR. _____: I think as faculty

1 become more aware and are about to take those
2 teaching moments, if there can be encouragement
3 and a real understanding of what it means to do
4 self-care, if we can be truly modeling it, I
5 think there is some hope.

6 COMMISSIONER GORDON: Go ahead?

7 DR. _____: It is not just self-
8 care. I think self-care goes hand in hand with
9 the understanding of how to collaborate, that it
10 is not on your back --

11 DR. _____: Absolutely.

12 DR. _____: -- and that is what we
13 are not training and treating, et cetera. So
14 five years out you have forgotten it all because
15 you did not know how to ask for help.

16 DR. _____: Right. Right.

17 COMMISSIONER GORDON: Joe?

18 COMMISSIONER PIZZORNO: I would like to
19 follow up on what Linnea just said and I think
20 your training program is fantastic having the
21 medical doctors to be to actually spend time
22 with practitioners and see what they actually do
23 makes a lot of sense.

24 One concern I have, and I see a lot of
25 medical schools now doing various forms of kind

1 of familiarizing the students with the CAM
2 practices, but I have not heard anybody talk
3 about actually developing specific training
4 programs and how to collaborate with CAM
5 practitioners, and I think there is a specific
6 skill group there that is needed and I wonder if
7 you are doing anything along those lines or have
8 any ideas for how that might be accomplished?

9 DR. MANAHAN: Well, I think the key --
10 and I maybe did not make that as clear as --
11 personally I do not think the average MD or DO
12 will be doing much in complementary and
13 alternative medicine. What I see is just the
14 pharmaceutical type of medicine that is now
15 practiced is overwhelming at some level and I
16 think the whole point of my course would be not
17 to teach them how to do these things but to
18 learn what is out there and to be -- and that in
19 itself teaches, I think, and I think Erin could
20 answer that better.

21 I think they all start thinking a much
22 more collaborative thing. I just think it has
23 to be -- the bulk of medical -- of training of
24 doctors does not happen in medical school. It
25 happens in residency and that is where we need

1 to do more of the collaborative -- you know, of
2 where -- of sending them out and getting them.
3 Once they have the experience out there then
4 they just automatically, I find, want to start
5 using it more.

6 MS. O'FALLON: I would agree with what
7 he said. I know a lot of my classmates were
8 eager to refer some of the problems that
9 alternative practitioners told us they were
10 really good at it; when we met the chiropractors
11 they said, "Boy, we love it when we see patients
12 with chronic low back pain or headaches." And
13 we said, "Wow. Great. That is exciting to
14 know." Because what we have seen in Western
15 medicine was not, you know, enthusiasm about
16 treating low back pain, it was apprehension. So
17 we thought, "Wow. We had no idea there were
18 other practitioners that were excited about it
19 and had good responses in these other areas."

20 So at least in terms of being eager to
21 refer or to collaborate in that sense, even if
22 we were not ready to take on more training in
23 those areas, I think that succeeds in an area.

24 COMMISSIONER GORDON: Wayne, any
25 questions?

1 COMMISSIONER JONAS: I think most of the
2 issues around this have been addressed but I did
3 not hear -- and I wanted to ask one thing that I
4 did not hear. I assume it is somewhere in
5 there. And that is, is there any discussion of
6 evidence-based underlying some of these
7 practices or discussion of dangerous practices
8 that may be seen as students are rotating out
9 through these areas? Is that part of the
10 course?

11 DR. MANAHAN: What I tell them on the
12 first day is that for four years minus three
13 weeks they learn about the dangers of
14 alternative and other practices, and during
15 these three weeks that I think we can afford to
16 trust them to mainly give the positive aspects
17 of it and so I would say, "No, I do not do a lot
18 with that aspect of it."

19 COMMISSIONER JONAS: I would disagree
20 actually with that. I think what they learn is
21 a lot of propaganda about the dangers but they
22 do not actually learn what the dangers are,
23 which can only come, you know, in looking at
24 what goes on in actual practices.

25 DR. _____: I would just say,

1 though, Dr. Jonas, that what Bill has described
2 is one course in the medical school. We
3 actually have identified CAM as one of ten
4 threads that are woven throughout the entire
5 medical school curriculum and so what he has
6 described is one elective in the third and
7 fourth year.

8 We just had a clinical inquiry session
9 last week where the focus was on low back pain
10 and it was very much looking at the evidence-
11 base for what chiropractic brings, for what
12 massage brings, for what osteopathic medicine
13 brings. So our focus very much is how do we do
14 this in an evidence-based way.

15 So I think Bill's course offers sort of
16 a wonderful enrichment to students who want to
17 get a more in-depth experience.

18 COMMISSIONER JONAS: I hope that your
19 expanded course now that you have this grant and
20 expanded program can begin to bring these things
21 closer and closer together. This is not an
22 issue unique to complementary and alternative
23 medicine. I mean, in regular medical school
24 there is -- we learn about evidence-based
25 medicine and have journal clubs and things like

1 that and then you go out into practice and, you
2 know, a lot of that goes by the wayside but in
3 any case I hope that that occurs.

4 There is another issue that I did not
5 hear a lot about and then I am -- and it is
6 really related to what you said about the
7 nursing and that is the holistic perspective.
8 Even going around and seeing different practices
9 does not necessarily help you understand that
10 there may be a different underlying perspective,
11 perhaps even a common one about looking at the
12 whole person, which, you know, as you said, has
13 been part of nursing practice for years and I am
14 just wondering if there is any interaction with
15 bringing in the nursing perspective as a
16 Western-based holistic assessment.

17 DR. _____: I would say that this
18 is probably something we want very much to
19 avoid, is to try to make CAM therapies be the
20 next pill that one could take or the next
21 treatment that one would use, that is more of a
22 how you do what you do than it is what you do.
23 And that kind of philosophy is -- I think, in
24 nursing, is trying to take that idea, that
25 paradigm and work with it in terms of

1 collaboration because nurses need very much to
2 work with other health care professionals in a
3 collaborative kind of model.

4 And I think many times they are
5 facilitators of collaboration between patients
6 and doctors and other health professionals so it
7 is the broader perspective.

8 COMMISSIONER JONAS: There is already
9 not very adequate communication between the
10 physician and the nursing side on these areas
11 and that is already in the Western conventional
12 system.

13 DR. _____: This is true.

14 COMMISSIONER JONAS: It would be lovely
15 to bring that in and to bring the holistic
16 perspective in.

17 DR. _____: You know, if I could
18 just tell you one interesting experience we
19 have. We have a graduate program now in
20 complementary therapies and healing practices,
21 and I taught the research topics class last
22 semester, had ten students and no two students
23 were from the same discipline.

24 When we began the program we had 37
25 students a year ago last fall. This spring we

1 have over 151 students enrolled in courses
2 within the graduate minor. And I would say the
3 strength of it are two things: The
4 interdisciplinary nature and the holistic
5 nature. The fact that it is very much
6 addressing the care of the whole person.

7 COMMISSIONER JONAS: I think these kind
8 of educational models would be extremely useful
9 for us to see.

10 Again I want to thank you, Mary Jo,
11 personally for organizing this.

12 I was hoping I would see some new things
13 coming to the heartland and I have to say I
14 have. There is really some expansion and
15 lateral thinking that is going on here. It is
16 wonderful.

17 DR. MANAHAN: Dr. Jonas, I gave a little
18 bit of a glib answer on your question of
19 evidence-based. The key thing I also mention on
20 the first day is the whole course is really
21 about critical thinking and so I think that when
22 Erin mentioned about critically starting to look
23 not just at the offices that she and the other
24 students are going out to visit but looking
25 critically at our own biomedicine and what we

1 are really doing and what science is.

2 MS. DAHLEM: I would say --

3 COMMISSIONER GORDON: George?

4 I am sorry. Go ahead. Go ahead.

5 MS. DAHLEM: I just want to add one
6 other thing in terms of one of the emphasis in
7 our program at the College of St. Catherine
8 within a holistic philosophy recognizing
9 something that we have learned from some of the
10 cultures. Of communities of color what we have
11 learned is that too often individuals of color
12 have to leave their culture at the door when
13 they see a provider and so I just want to
14 emphasize again that part of the holistic
15 framework has to really emphasize and hold as
16 center of the agenda the concept of culture.
17 And some of us have hardly a beginning knowledge
18 of what that means to not be Euro-centric based
19 and also to embrace and understand and look at
20 different cultural ways of knowing.

21 So part of that holistic philosophy
22 really has to embrace that, to continue to
23 embrace that.

24 COMMISSIONER GORDON: George?

25 Let's give George time and then come

1 back.

2 COMMISSIONER JONAS: Okay.

3 COMMISSIONER DeVRIES: Dr. Manahan, you
4 know, part of the White Commission, our mission
5 is to provide recommendations and one of the
6 specific areas is related to education. And if
7 we were to ask you, you know, given what you
8 have learned in terms of creating really CAM
9 education for medical students in medical and/or
10 residency training, if you had to distill it
11 down to three or four mission critical items
12 that you thought these are the critical issues
13 for creating successful CAM education inside of
14 a medical school or residency program, what
15 would you distill it down to? What would you
16 share with us? What do you feel are those
17 critical points?

18 DR. MANAHAN: I think the critical point
19 is open-mindedness and attitude. It is not
20 skills and it is not even necessarily knowledge.
21 It would be more attitudinal, and that is why I
22 felt that -- I have learned through this program
23 how attitude changes so tremendously as they are
24 in the offices of the other practitioners.

25 We started -- I could -- we could

1 lecture with the best lecturers forever and it
2 would not do what it does with these students
3 and physicians when they are in the offices of
4 the other practitioners.

5 And one of the more common comments is
6 about they are so holistic, they are so
7 compassionate, they are -- one of my most common
8 comments of students when they are -- is leaving
9 the course is, "Now I have -- I -- now I have
10 the energy to go into residency and the passion
11 that I had when I started as a freshman medical
12 student." And it is their attitude. It is not
13 that they learned a few more techniques or
14 anything. And so I would put it down to one
15 thing. It is somehow about attitudes of --
16 there is a broad world out there and we need to
17 be more collaborative and the word that Linnea
18 used.

19 COMMISSIONER GORDON: George, anything
20 else?

21 Wayne?

22 COMMISSIONER JONAS: I just wanted to
23 mention one thing that you may or may not be
24 aware of just so -- you may want to contact
25 them.

1 The American Medical Student Association
2 Humanistic Medicine Group is currently
3 attempting to put together a month-long rotation
4 based on a retreat series that they currently
5 run for medical students around the country in
6 which they bring in and teach and help students
7 experience self-care, learn evidence-based
8 skills and how they might be applied to
9 traditional and complementary practices,
10 cultural sensitivity in terms of how to manage
11 that, patient management issues.

12 And they are currently looking to do
13 this as a third year type of rotation for a
14 month, and then that would be followed up by,
15 you know, other types of electives that might be
16 taken such as your course or overseas courses or
17 things like that.

18 You may want to contact with them.
19 There is a number of things missing with that.
20 I mean, it is pretty clear that other
21 disciplines need to be brought in besides
22 medical training or medical students. However,
23 since it is the American Medical Student
24 Association trying to do this, that is what they
25 are focused on.

1 But that -- just for your own
2 information and I can tell you the individuals
3 who are involved in trying to organize that if
4 you are interested.

5 DR. MANAHAN: Is that the one Polly
6 Delavid is doing?

7 COMMISSIONER JONAS: She is, yes.
8 Right.

9 DR. MANAHAN: We are part of that.

10 COMMISSIONER JONAS: Okay. Good.

11 COMMISSIONER GORDON: I had a question
12 for you, Mary Johnson, and that is, is -- do you
13 see -- is your program now currently functioning
14 as the kind of model you have described and, if
15 so, are you gathering some data on stress
16 levels, job satisfaction, et cetera?

17 DR. JOHNSON: That is a wonderful
18 question.

19 Nursing curriculum are very, very
20 variable throughout the nation and I think that
21 a holistic framework is probably part of all
22 nursing programs but how much they integrate CAM
23 therapies is pretty individual.

24 I have been doing a survey on my nursing
25 students. I teach at St. Olaf College and

1 Gustivas Adolfus College. We have a Minnesota
2 Intercollegiate Consortium. And I have been
3 collecting data for the last five years on
4 students' perceptions of the integration of our
5 holistic framework and they have, as Bill
6 mentioned, have talked a lot about how this has
7 expanded their perspective and helped them see
8 what a benefit it is for clients.

9 And then we do on a one year and five
10 year, we ask about satisfaction with the program
11 and this has come through as one of the high
12 points of satisfaction from nurses.

13 As far as employer satisfaction, we have
14 very high ratings. You know, it is hard to know
15 how that compares to others but I think as this
16 movement continues, this should be something
17 that should be very much integrated into our
18 evaluative process.

19 COMMISSIONER GORDON: I think from our
20 point of view, it will be useful to have any
21 kind of data and obviously from our point of
22 view if there is data that compares people who
23 have been through this program with those who
24 have not that would also be very helpful
25 because, as I think Wayne was saying or perhaps

1 it was Wayne who was saying earlier, one of us
2 was saying earlier that we -- or George was as
3 well that part of our task is to make
4 recommendations for educational programs and the
5 more data we have about what happens, even if
6 you do not have it now -- I mean, as time goes
7 on if that data can be accumulated it becomes
8 very powerful.

9 DR. JOHNSON: That is true.

10 COMMISSIONER GORDON: And I think one of
11 the things I want to say both in appreciation
12 and as a reminder to all of you, it may feel
13 like you are doing just one program but one
14 program that has a really successful outcome and
15 that then becomes a model can become very, very
16 important nationally. So I urge you to collect
17 that kind of data, and from the people who go
18 through your programs, and to do the follow-up
19 data and to --

20 DR. JOHNSON: And to write up the
21 evidence.

22 COMMISSIONER GORDON: And to write up
23 the evidence.

24 DR. JOHNSON: Okay.

25 COMMISSIONER GORDON: Exactly. Okay.

1 DR. JOHNSON: I will try to do that.

2 COMMISSIONER GORDON: All right.

3 Thank you all very much.

4 (Applause.)

5 * * * * *

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

RESEARCH

COMMISSIONER GORDON: Robert Patterson,
please?

ROBERT PATTERSON, PhD

PROFESSOR, PHYSICAL MEDICINE AND REHABILITATION:

UNIVERSITY OF MINNESOTA

DR. PATTERSON: I would like to speak on
IRB policy.

The belief of the practitioner and the
patient may be very important for the success of
the healing process. Western medicines standard
for scientific research studies, the randomized,
placebo-controlled, double-blind study, limits
or minimizes the influence of belief. In most
cases this requires telling the subject that he
or she will be receiving the "real treatment"
half the time or they have a 50-50 chance of
getting the "real thing." This creates doubt in
the mind of the patient, which in turn may
affect the healing process.

The recent NIH conference on the placebo
presented many studies suggesting the real
healing occurs from the placebo. I believe most
traditional Western scientific researchers think
that the placebo only results in an imagined

1 healing.

2 As a result of this, I would like to
3 present an example of the difficulty I
4 encountered in trying to carry out a Qigong
5 study on patients with torticollis treated in
6 our clinic with botulinum toxin every three
7 months to relax the neck muscles.

8 In our study we wished to have a Qigong
9 master apply a nontouching treatment
10 approximately one week before the patient's
11 scheduled visit for the botulinum toxin
12 injection. We had two very exact measures to
13 measure the head position using electronic
14 tracking system. The second measure was the
15 opportunity for the patient to cancel their
16 scheduled botulinum toxin injection because they
17 would not need it due to the relief given by the
18 Qigong practitioner.

19 The IRB would not approve this study.
20 They required a sham control group. I responded
21 in a letter describing the need to respect the
22 belief process. I was rejected again after two
23 appeals.

24 The following is, in part, the written
25 response I received:

1 "We are, however, concerned about the
2 apparent legitimization of a therapy by an IRB
3 approval of a study of the therapy, especially
4 when the design of the study is flawed. The
5 combining of IRB approval and a likely positive
6 outcome, even if the therapy is without
7 effect..." that is kind of interesting if you
8 think about it "...misleads both the current
9 subject and the general public. The latter
10 through news reports, journal reports and word
11 of mouth." As an aside, there goes my academic
12 freedom. "Being misleading about the efficacy
13 of the therapy is a risk."

14 In my 21 years as a member myself of the
15 IRB at the University of Minnesota, I have never
16 heard this argument being made before about any
17 other study.

18 Just two months ago another similarly
19 designed study by somebody else who is in this
20 room was submitted to the IRB with the initial
21 response being similar.

22 Guidance on this issue for IRB panels is
23 given in the Federal OPRR institutional review
24 board guidebook. Section 4-1 discusses this
25 issue. Suggestions for OPRR policies:

1 (1) For minimal risk studies the IRB
2 shall not require changes in the study design.

3 (2) The only risk considered shall be
4 the risk directly affecting the subject.

5 Thank you.

6 COMMISSIONER GORDON: Thank you.

7 Thanks for bringing this to our
8 attention.

9 Janice Post-White?

10 JANICE POST-WHITE, RN, PhD, FAAN
11 ASSOCIATE PROFESSOR, SCHOOL OF NURSING
12 UNIVERSITY OF MINNESOTA

13 DR. POST-WHITE: Thank you.

14 I am going to address the need for
15 rigorous credible research in CAM both as a
16 research professor at the university and the
17 Integrative Medicine Program at Children's
18 Hospital and Clinics so I am going to emphasize
19 pediatric cancer.

20 There are a few studies documenting the
21 safety and efficacy of CAM therapies,
22 particularly in children. The aim of evidence-
23 based practice is to provide the best care based
24 on the best available research. Research on CAM
25 is needed to determine efficacy, effectiveness

1 of CAM in comparison to standard of care, the
2 mechanism of action with a physiologic or
3 theoretical model, as well as dosage, provider
4 effectiveness, and cost.

5 Pediatrics must be included in the
6 integrative medicine research agenda. It is
7 inaccurate to assume that studies in adult
8 patients will be applicable to children.

9 CAM intervention research, however, has
10 unique characteristics that make standardization
11 of the intervention more challenging, control of
12 a patient's self-practice is difficult, and
13 randomization is sometimes unethical.

14 CAM interventions are not generalizable.
15 What works for one individual often is not
16 helpful for another.

17 Despite the scientific strength in
18 randomization, the power of the intervention
19 lies in matching that intervention with the
20 strengths and beliefs of the individual.

21 We need to be open to new models.
22 However, CAM intervention studies also need to
23 test standardized interventions with
24 consideration for the dose of the intervention,
25 the intensity, frequency and the actual

1 utilization.

2 Outcome measures need to be sensitive to
3 that specific intervention and meaningful to
4 cultural and ethnic groups.

5 New methods of analysis will consider
6 individual outcomes, not just group means.

7 And qualitative analysis will better
8 determine how CAM affects individuals as a
9 whole.

10 Funding is desperately needed for large
11 scale powered efficacy and effectiveness studies
12 and trained researchers to conduct them.

13 Ideally, efficacy studies precede
14 effectiveness. It will not matter if it is
15 cost-effective if it does not make a difference.
16 It is the efficacy studies that I believe will
17 create organizational policy and insurance
18 changes.

19 Meanwhile, establishing databases that
20 document clinical outcomes and reflect risks and
21 benefits as well as treatments and responses and
22 costs will offer insight into use, trends and
23 outcomes.

24 I also propose that the integration of
25 CAM intervention research within cooperative

1 group clinical trials will ensure credibility,
2 access, adequate sample sizes and efficiency in
3 recruitment, data collection, follow-up and
4 analysis.

5 In the forthcoming grant renewal in
6 Children's Oncology Group funded by the National
7 Institute of Health the CAM subcommittee is
8 designing group-wide protocols to test the
9 effects of specific CAM interventions on
10 outcomes in children with cancer. This is a
11 pioneering collaboration to determine which CAM
12 therapies demonstrate efficacy and are
13 compatible with conventional cancer treatment.

14 As a cancer CAM researcher and mother of
15 an eight-year old survivor of leukemia, I can
16 assure you it is the clinical trial mechanism
17 that saved my son's life. However, the effects
18 of cancer do not end with the treatment.

19 Imagine the effect on clinical outcomes
20 if we can identify CAM therapies that could
21 reduce late effects and toxicities as well as
22 improve function and resiliency. We have more
23 than double pediatric cancer survival since the
24 1970s. Imagine where the science of CAM could
25 be in the year 2030. It is time to critically

1 test some of our more promising CAM therapies.

2 COMMISSIONER GORDON: Thank you, Janice.

3 Chris Hafner?

4 CHRIS HAFNER, BA, Dipl Ac LAc

5 CLOUD RIVER TRADITIONAL CHINESE MEDICINE

6 MR. HAFNER: Thank you.

7 It is my belief that the greatest
8 benefit that complementary and alternative
9 medicine has to offer us is in the opportunity
10 to see ourselves from other perspectives. In
11 Chinese medicine it is said that nothing brings
12 greater suffering than the stagnancy engendered
13 by the attachment to a single point of view.
14 There is great wisdom in adopting another
15 perspective when it is appropriate to do so and
16 there is great advantage in having a collection
17 of ready perspectives from which to draw.

18 CAM represents a diverse collection of
19 perspectives on a wide range of human health
20 issues, from the treatment of illness and the
21 prevention of disease to the optimization of
22 health and well-being. This diversity of
23 perspectives is the very definition of CAM and
24 is its greatest value. It is perspective that
25 guides the CAM practitioner in making a

1 diagnosis and forming an appropriate treatment
2 strategy. It is perspective that directs the
3 safe and effective use of treatment modalities.
4 It is perspective that helps to shape, guide and
5 provide focus for the healing intention. It is
6 perspective that is ultimately responsible for
7 whatever benefits a system of medicine is
8 capable of yielding.

9 Yet, as important as perspective is in
10 defining a paradigm, it is seldom acknowledged
11 and CAM paradigms often remain unarticulated and
12 poorly understood. If we hope to create policy
13 that will ensure the maximum benefit of CAM in
14 an integrated system of health care, we must
15 recognize where this benefit lies. The benefit
16 is in the perspective. We must do everything we
17 can to clearly define and understand CAM
18 perspectives so we do not lose sight of them in
19 the process of integration. As we develop
20 methods of research to determine safety and
21 efficacy, we must do so in a way that maintains
22 the integrity of CAM perspectives.

23 This will probably be very difficult to
24 do using standard research models that tend to
25 focus on mechanism. If we are to determine the

1 validity of any CAM perspective it is my opinion
2 that we must do so not in terms of conventional
3 medicine. The validity of a perspective rests
4 not in how well it agrees with our own, or
5 whether we can even understand it in terms of
6 our own, but whether or not it consistently
7 leads to positive outcomes.

8 Safety and efficacy of a given therapy
9 are dependent upon its appropriate use.
10 Appropriate use, in turn, is determined by the
11 guiding principles of the perspective. We must
12 encourage and support CAM research within the
13 CAM community itself so that CAM perspectives
14 can first demonstrate the validity of the
15 guiding principles. If guiding principles of a
16 CAM perspective can be demonstrated to be
17 internally consistent then it would be much
18 easier to go on to demonstrate safety and
19 efficacy without having to research the
20 mechanism.

21 In this way, we may be able to meet the
22 standards set by our society for the safe and
23 competent practice of health care without having
24 to compromise the integrity of CAM perspectives.
25

1 Thank you.

2 COMMISSIONER GORDON: Thank you.

3 Mary Ellen Kinney?

4 MARY ELLEN KINNEY, RN

5 COORDINATOR OF COMPLEMENTARY THERAPIES

6 RESEARCH: UNITED HOSPITAL (ALLINA)

7 MS. KINNEY: Thank you very much.

8 I am working on a research study
9 entitled "The Effects of Therapeutic Massage and
10 Healing Touch on Cancer Patients." Through this
11 study, I have gained a great appreciation for
12 the scientific process, and the subjective
13 comments from scores of our research subjects
14 support its value in their lives.

15 In fact, one woman could not wait to
16 tell me her story when after receiving healing
17 touch, she rapped on my door and burst into my
18 office saying, "Look at me. Look at me. Today
19 is the day in my chemo cycle when I am always
20 admitted to the hospital but that is not what it
21 is about. It is not about the diarrhea or the
22 nausea or the vomiting or the mouth sores. Can
23 you see it? I a living for the first time since
24 I was diagnosed. It is my spirit, Mary Ellen.
25 My spirit. Look at me." She spread her arms

1 and twirled in front of me.

2 In addition to recognizing and
3 appreciating her obvious vitality, my thought
4 was, "What am I witnessing here? And just how
5 do we measure that?" How do we measure the
6 ineffable using the construct of our current
7 scientific methodology?

8 The goals and philosophy of CAM include
9 wholeness, wellness and healing. These are
10 inherently expansive concepts that lead to
11 questions of consciousness, interconnectedness,
12 transcendence, healing and spirituality.

13 If we ask the questions that CAM
14 prompts, then a methodology that supports the
15 research to find the answers is required. The
16 current methodology of the randomized double-
17 blind study is serving us well in some arenas
18 but it is based on a reductionist model and
19 reductionism and holism do not resonate.

20 When we look at the woman in my office,
21 the results we obtain from a randomized
22 controlled trial may be valid but they are
23 certainly incomplete. We can measure her
24 episodes of diarrhea and vomiting, the number of
25 antiemetics and pain medications she uses, and

1 measure her endorphin, T cell and cortisol
2 levels.

3 We can measure length of inpatient stay
4 and even quality of life but if we listen
5 carefully to what she told us, we still have
6 missed measurement of what she identifies as the
7 underlying key to her experience, her spirit.

8 This calls for advancement to another
9 level of reliability and validity in research
10 methodology.

11 We are already practicing and benefiting
12 from an integrative medicine and our healing
13 practices have moved beyond what can currently
14 be measured in a reductionist manner.

15 Science must evolve along with medicine
16 to continue answering the questions and assuring
17 safety and effectiveness for the people seeking
18 wholeness.

19 I have great hope and confidence science
20 will answer the call.

21 COMMISSIONER GORDON: Thank you.

22 Milton Seifert? Is it Seifert or
23 Seifert?

24 DR. SEIFERT: Seifert.

25 COMMISSIONER GORDON: Seifert.

1 MILTON SEIFERT, MD

2 EAGLE MEDICAL

3 DR. SEIFERT: I am a mainstream family
4 physician in general practice, in the town in
5 which I was raised. My office and high school
6 and ancestral home are within a 50 yard radius.
7 In one sense, I am a domain expert on localness,
8 specifically the localness of Peter Senge.

9 The town is Excelsior and means "ever
10 upward." It is the title of my testimony and it
11 is an image for a bottom-up system change.

12 I have two other images on the table
13 before me.

14 When the work of this White House
15 Commission is completed, I assume it will have
16 created a set of policies that address care,
17 education, outcomes research, organization,
18 systems and governance. These policies will
19 need to be integrated and cohesive and
20 definitely not at cross purposes.

21 Guidelines for the research and
22 development of each policy will be chosen and I
23 would like to suggest some options, mostly based
24 on a bottom-up approach.

25 For organizations and systems, the 4C2A

1 formula means comprehensive and continuing,
2 coordinated and customized, accessible and
3 accountable.

4 For care and education, the emphasis
5 would be on partnerships where the partners have
6 equal power. Also, there will be a replication
7 of the 4C2A formula.

8 For outcomes research, a health status
9 assessment that measures and treats both health
10 and disease with equal intensity. Policy would
11 direct that this be a part of daily care and
12 this would produce evidence for the base.

13 For governance, the intentional logic of
14 Harvey Wheeler where a system of rewards largely
15 replaces regulation and punitive methods while
16 it produces higher performance and greater
17 patient safety. It would give concepts like the
18 4C2A formula the force of law.

19 But there need to be some priorities and
20 the main priority would be for a partnership.

21 A project supported by the NIH, SBIR
22 grant asked patients how they got well and they
23 said, "We got better because you and your staff
24 listened and cared; this allowed us to put in
25 trust and become a partner in the relationship;

1 this led to willingness to change and we changed
2 our lifestyles in our life."

3 A recent review of the literature on
4 informed consent showed that both mainstream
5 medicine and complementary medicine are saying
6 the same thing, that you have to have an
7 intimate relationship with the patient before
8 they can choose what you are offering.

9 I have an image of the top down
10 approach. You can have it that way with the top
11 down but it takes an awful lot of support and
12 eventually the support will not be enough and it
13 will fall down.

14 (Laughter.)

15 COMMISSIONER GORDON: Okay. Thank you.

16 (Applause.)

17 COMMISSIONER GORDON: Frank Wiewel?

18 FRANK DENNIS WIEWEL

19 PEOPLE AGAINST CANCER

20 MR. WIEWEL: I am Frank Wiewel and the
21 founder of an international nonprofit
22 organization, People Against Cancer, and some
23 would consider me a revolutionary in the war on
24 cancer.

25 Cancer: You hear about it. You read

1 about it and you see it on TV but there is one
2 thing you never hear. You never hear the truth
3 about cancer.

4 You are never told the truth about
5 cancer incidence. In 1960 it was one in four;
6 today it is one in two. This year 1,500,000
7 American citizens will get cancer.

8 Despite these horrifying facts, the
9 cancer establishment claims progress against
10 cancer. You are never told the truth about
11 cancer incidence.

12 You are never told the truth about
13 cancer death rates. Death from cancer is on the
14 rise. This year over 750,000 American citizens
15 will die, notwithstanding the best conventional
16 treatment. You are never told the truth about
17 cancer death.

18 You are never told the truth about
19 cancer diagnosis. The public is told that the
20 earlier the diagnosis the better the chance of a
21 cure. It is a lie. Through the use of scare
22 tactics, many forms of cancer are now being
23 diagnosed at a much earlier stage producing a
24 "statistical cure" but no real survival
25 advantage. Radiation from mammograms and x-rays

1 is a major cause of cancer. In the Canadian
2 Breast Cancer Study, routine yearly mammograms
3 for those ages 40 to 50, as recommended by our
4 National Cancer Institute, produced a 52 percent
5 increase in the rate of cancer. You are never
6 told the truth about cancer diagnosis.

7 You are never told the truth about what
8 causes cancer. Recently the FDA found 60 to 80
9 pesticides in the average American food basket.
10 They did not tell the public and they did not do
11 a thing about it.

12 You are never told the truth about how
13 you can prevent cancer. We can lower our risk
14 by eliminating carcinogens in the food, the air,
15 the water and the environment. There is also
16 good scientific evidence that we can
17 significantly lower the risk of cancer with
18 exercise, dietary changes, nutritional
19 supplements and stress reduction.

20 You are never told the truth about
21 conventional cancer therapy. For decades the
22 cancer establishment has relied upon the crude
23 and primitive treatments of surgery,
24 radiotherapy and chemotherapy as their only
25 weapons. These therapies are dangerous, toxic,

1 ineffective and highly profitable. There is an
2 ongoing and deliberate sophisticated hoax being
3 perpetrated on people with cancer.

4 You are never told the truth about what
5 innovative cancer therapies can do. There are
6 promising new methods of treating cancer that
7 are safe, effective and cost effective.

8 Amazing discoveries about the power of
9 nutrients to prevent and treat cancer are made
10 every day. Nutritherapy is the use of nutrients
11 in therapeutic doses to resolve disease.

12 Nutritherapy is the future of medicine.
13 Chemotherapy and radiotherapy are the past.
14 However, nutritherapy will never be approved
15 under the current FDA medical monopoly scheme.

16 The White House Council on Alternative
17 Medicine has the opportunity to tell the truth
18 and demand reforms of the current system. The
19 Commission has the opportunity to bring together
20 physicians and researchers and people with
21 cancer to discuss new directions in the war on
22 cancer and research new strategies for cancer
23 prevention through the use of the mind, body,
24 diet, nutrition, detoxification and through the
25 high tech biologicals.

1 What needs to be done? For people with
2 cancer, we need to tell the truth. We need to
3 conduct good scientific research and tell people
4 there are innovative and alternative therapies
5 which are safe, effective and cost effective.
6 We need to tell people that you can do more for
7 yourself than any doctor.

8 We need to dismantle the FDA and the
9 National Cancer Institute. They cannot be
10 reformed. We need to reestablish all of the
11 National Cancer Advisory Boards and Citizen
12 Chairman Advisory Boards. These boards should
13 be controlled by people with cancer, not
14 physicians and cancer researchers with a vested
15 interest.

16 Thank you.

17 COMMISSIONER GORDON: Thank you.

18 (Applause.)

19 DISCUSSION

20 COMMISSIONER GORDON: Questions? We
21 will begin at this end with George.

22 COMMISSIONER DeVRIES: Pass.

23 COMMISSIONER GORDON: Okay. Wayne?

24 COMMISSIONER JONAS: Let's see. Where
25 do I start?

(Laughter.)

COMMISSIONER JONAS: One of the recurring issues is, in fact, who sets priorities in terms of research and research design. It is frequently mentioned that we need to establish efficacy before effectiveness and there is a whole hierarchy, et cetera, et cetera. And I guess one of the questions I have is how -- and then, you know, when this established -- when this gets established and people begin to say, "Well, I really want to test a theory as opposed to the outcome," which I think Professor Patterson was -- what they were saying, you need to be blind to not just look at what happens. And this is an ongoing debate, which I do not think we have resolved at this point in terms of research.

I am wondering if you have some suggestions as to how to approach this again focusing on research. You mentioned a grounded theory, a multi-modular approach. Now that is not what is currently taken actually at the NIH. In fact, there is an explicit policy to test efficacy first and establish that and then if

1 that looks promising then to move on to other
2 types of things. And it is not that any
3 particular research design is abandoned, it is
4 just that things are expected to be followed in
5 a certain order.

6 And I guess I heard this mostly from
7 you, is that this seemed to be a reasonable
8 order and yet there were a lot of caveats in
9 your description there, and I am wondering if
10 you could comment. Should we change this
11 hierarchy in some way? Should there be an
12 alteration of research structures and how would
13 we bring the patients, if that is what is
14 recommended, into more of a control position, if
15 you will, in the area of research
16 prioritization, design and execution?

17 I mean, is there a way that patients can
18 actually be brought into that, including brought
19 into some of the hierarchical structures that
20 the government has like the NCI and the FDA?

21 COMMISSIONER GORDON: That is a
22 question.

23 (Laughter.)

24 DR. POST-WHITE: When I said that
25 "efficacy should precede effectiveness" from a

1 scientific model, I believe that but I do not
2 think in any one of them that we should follow
3 Phase I, Phase II, Phase III with exclusion of
4 grounded theory, research, qualitative
5 methodology, capturing the spirit in Mary
6 Ellen's study. Those things, I believe, need to
7 be occurring simultaneously.

8 It is not that we cannot be collecting
9 outcomes and effectiveness of interventions in
10 comparison to standard care at the same time
11 that we are testing mechanisms of action and
12 actual efficacy of the interventions but I think
13 in order to eventually get funding that we need
14 to be able to prove that long-term these
15 interventions make a difference. We need the
16 efficacy studies. We cannot just ignore the
17 efficacy studies, I guess, is what I was trying
18 to say.

19 And I do believe that we need to bring
20 consumers into this model because with any
21 research design that you do you have issues of
22 randomization, recruitment, retention. Those
23 issues are magnified in CAM research. They are
24 very unique in complementary and alternative
25 medicine partly because of the commitment we are

1 asking of the patients and the expectations that
2 we have for them and the change that we are
3 requesting of them. They need to be full
4 participants in that model of care as well as
5 documenting research outcomes so I would support
6 that at any level.

7 COMMISSIONER JONAS: I guess I am -- go
8 ahead. I am wondering how to do this. I mean,
9 how do you bring -- right now we put consumers
10 on advisory panels. Okay. That does not work.
11 There is some -- I am looking for suggestions as
12 to how consumers can be more integrally -- be
13 more integrally brought into the process and if
14 there are models of how that has occurred
15 successfully.

16 DR. _____: The grounded theory
17 approach in the real world is a group of
18 consumers talking about the use of Dove soap or
19 Buick automobiles. We have -- I have two
20 examples from our own practice. Our patient
21 council met and they looked at the SF36 and
22 eventually determined that there was no measure
23 of spiritual health. We also asked them to help
24 us develop a language of negotiation so that we
25 could better do the relationship work and when

1 it was all done we no longer had hypochondriacs
2 or neurotics or schizophrenics or psychopaths.

3 COMMISSIONER JONAS: So, in other words,
4 the consumer group said this particular measure
5 is not capturing what we are -- what is
6 important to us?

7 DR. _____: And they re-languaged
8 the medical language in a way that they could
9 help themselves more. So there is no reason why
10 medical practice cannot be a laboratory practice
11 when you bring together care and research and
12 education. The multi-method research of
13 Crabtree and Miller is in a book called
14 Collaborative Care that came out of an NIH
15 conference and at that conference there were a
16 group of patients, a patient advisory council
17 that advised the conference.

18 COMMISSIONER JONAS: Thank you.

19 COMMISSIONER GORDON: Frank, did you
20 want to say something?

21 MR. WIEWEL: Yes. One recent example of
22 this was that we held a conference in the United
23 States Capitol with the head of the National
24 Cancer Institute, the head of the Food and Drug
25 Administration, the head of the National

1 Institute of Health and the head of the Office
2 of Technology Assessment, and I addressed this
3 group and I said, "We are having a problem here
4 and that is that the people want to know why we
5 are not living longer and feeling better in the
6 treatment of cancer."

7 Well, the rules were changed somewhere
8 along the line. About 80 percent of the people
9 did not live longer or feel better using the
10 current treatment methods. We changed the
11 standard by which we measured. We are now
12 measuring tumor response so the tumor gets
13 smaller and the patient dies. We claim success
14 but the people want to live longer and feel
15 better and they were left out of that dialogue.
16 And the National Cancer Advisory Board is
17 essentially researchers and doctors who are
18 giving money to each other. The system is
19 corrupt. It cannot be reformed.

20 You need to put all citizens on who are
21 treating people with cancer, the people with
22 cancer are the ones who will tell you "I want to
23 know if we can live longer. I want to know if I
24 will feel better." Those are the two questions
25 every physician is asked. "Will I live longer?

1 Will I feel better?"

2 The size of the tumor is unimportant.
3 It means nothing. It is the standard by which
4 we judge in these advisory boards and the
5 National Cancer Advisory Board by the National
6 Cancer Institute and clinical trials. So I
7 think you have to do the ground-up. You have to
8 do it with the people. What do the people want?

9 COMMISSIONER GORDON: Okay.

10 Joe, do you have a question?

11 COMMISSIONER PIZZORNO: This is for
12 Frank Wiewel.

13 You made several pretty strong
14 assertions in your presentation today but when I
15 looked at it I did not see any references. Do
16 you have any research to back up the numbers
17 that you are -- you have in the statement?

18 DR. WIEWEL: Yes, they are all real.

19 COMMISSIONER PIZZORNO: So where would I
20 find those numbers?

21 DR. WIEWEL: I will be happy to send
22 them to you.

23 COMMISSIONER PIZZORNO: Good. I would
24 like to see them.

25 DR. WIEWEL: They are surprising and I

1 think that is why people ask for that but they
2 are all sound, solid, scientific assertions.

3 COMMISSIONER PIZZORNO: Then they should
4 be in the paper because it would be easier for
5 people to follow up on what you are saying.

6 DR. WIEWEL: I will be happy to send
7 them to you.

8 COMMISSIONER PIZZORNO: I think those of
9 you involved in cancer research, a lot has gone
10 in terms of quality of life and survival in
11 conventional cancer research in the number of --
12 in the last several years. In fact, there are
13 often now primary outcomes in studies also so I
14 do not think it has been completely ignored. In
15 fact, it is an integral part. I do not know if
16 you want to comment on that.

17 DR. POST-WHITE: Except that was top
18 down. Quality of life was added because
19 cooperative groups were mandated to add the
20 quality of life estimate measure to their
21 outcomes and what is wrong with that is that the
22 quality of life instrument does not capture the
23 real quality of life of the patients and the
24 families. We need more sensitive instruments.
25 That is a start. It is a great start but we

1 need to find out from the consumers or from
2 other people what goes on in your life when you
3 have cancer, what measures do you think would
4 capture this, what should we be looking for. I
5 think we need more than quality of life and we
6 need something more specific if you look at all
7 the quality of life instrumentation in terms of
8 the sensitivity and specificity. It is not
9 there.

10 COMMISSIONER GORDON: Let me make a
11 request that sort of goes along with some of the
12 questions being asked. If -- Janice, this was
13 occasioned by what you were just saying. If you
14 have suggestions and concrete proposals, for
15 example for better quality of life measures or
16 for better guidelines -- Dr. Patterson, you gave
17 us a couple of suggestions -- but really
18 organized sort of programs for better IRB
19 guidelines, better quality of life measures,
20 those are the kinds of things that we may be
21 able to propose because they are really -- in a
22 sense they may be difficult because there may be
23 resistance.

24 In another sense they may not be so
25 difficult because I think people are looking --

1 we are really looking to see a way to have
2 guidelines that are more reflective of what the
3 actual situation is, what the actual needs are,
4 whether they are the needs of patients or the
5 needs of researchers trying to look into this
6 field so we would really appreciate that kind of
7 guidance from you.

8 Yes?

9 COMMISSIONER JONAS: Yes, I would just
10 add to that because we have discussed these
11 issues actually in our first research forum. I
12 am sure we will discuss them at the next one
13 also. It would be very nice if we had some
14 examples to go along with those and specifically
15 I do not mean just grounded theory and multi-
16 modal research. I am familiar with the research
17 of Crabtree and Miller and those groups.

18 But an example of how the public has
19 been brought into the entire process of research
20 from square one to the end, from putting
21 together and thinking about how to design what
22 measurements to occur, the approval process in
23 terms of the IRB, et cetera, as well as the data
24 collection and analysis and the interpretation
25 aspect, which is also an end product of that.

1 And if there was a way or a model of
2 where this has actually occurred throughout the
3 entire research cycle, this I think would be
4 useful for us to look at because I do not know
5 of any place where that has actually occurred.

6 COMMISSIONER GORDON: I would just add
7 that even if it has not occurred, it would be
8 great if it has, if it has not occurred, perhaps
9 we are in a position, if not to make it happen,
10 at least to significantly raise the visibility
11 of these kinds of models so we would ask you for
12 that.

13 DR. POST-WHITE: And that is what I
14 think I was proposing with the Children's
15 Oncology Group Cooperative Model is that what we
16 are proposing is R01 initiated research that
17 would become a clinical trial model. We are
18 working with the CAM subcommittee and the end-
19 of-life subcommittee to determine what those
20 outcome measures from the consumer, from their
21 clinical practice, what would be relevant to
22 them and then how can we as a cooperative group
23 add a spirituality assessment or a qualitative
24 analysis piece or some sort of methodology that
25 expands the quality of life.

1 COMMISSIONER GORDON: Great. Janice, I
2 would appreciate it if you would send it to us.
3 Also send it to the Cancer Advisory Panel at
4 NIH, CAPCAM and send -- copy me on that as well.

5 And I want to give Linnea a little
6 chance, Wayne.

7 COMMISSIONER JONAS: I just want to ask
8 Dr. Patterson -- I am sorry.

9 COMMISSIONER GORDON: Okay.

10 COMMISSIONER JONAS: Were any of the
11 patients asked what type of design they wanted
12 in your torticollis study, whether they would
13 prefer to be in a study that looked at standard
14 therapy with additional energy or Qigong or
15 sham? Was that ever brought into the
16 discussion? In other words, the population you
17 were going to do the research with, were they
18 ever surveyed or a focus group done asking what
19 kind of a design would they like? Would they
20 like a sham arm in that in order to get that
21 kind of information or would they like just a
22 standard care arm?

23 DR. PATTERSON: No.

24 COMMISSIONER JONAS: I am just wondering
25 what you think they would prefer. Do you have a

1 hunch?

2 DR. PATTERSON: It is always, you know,
3 a problem, you know, actually going to people
4 and talking about research that is not approved.
5 That is sort of a touchy area. I mean, you
6 could.

7 COMMISSIONER JONAS: You can bring
8 individuals in a focus group who may or may not
9 participate in a study just to get an idea of
10 what -- you know, what is the preference in
11 terms of design.

12 DR. PATTERSON: Yes, that would be good
13 but I might add that the point I was trying to
14 make and I have served on the IRB a long time,
15 this has come up, it has just come up again, is
16 that there is a real bias against this stuff and
17 they want to dictate the design and you saw --
18 maybe I went quick -- is that most of the stuff
19 does not have any real risk in any reasonable
20 way.

21 So when I addressed to the committee
22 chairman on my study what the risk was, it is me
23 speaking about the results. You know, I am
24 tenured with academic freedom and now they tell
25 me that they are going to stop me from talking

1 right at the head and it has happened again. It
2 is not a rare thing and basically they do not
3 want this to go on.

4 COMMISSIONER GORDON: So where does this
5 sit now, the issue? Is it --

6 DR. PATTERSON: The study had to be
7 modified after the many appeals and the current
8 one that I referred to that I -- it was ironic
9 on the current study that is under consideration
10 now by the IRB, which I was on the panel and I
11 was actually representing the study, has not
12 been approved yet.

13 COMMISSIONER GORDON: It has not been
14 approved. Would you keep us posted? Seriously,
15 I think this whole -- it is a very important
16 example for us to take a look at.

17 DR. PATTERSON: When I said that, there
18 is a potential approval with stipulations but
19 they want to change the design.

20 COMMISSIONER GORDON: I understand. You
21 mean eliminate the Qigong?

22 DR. PATTERSON: Oh, no.

23 (Laughter.)

24 DR. PATTERSON: In the current study
25 that is open right now.

1 COMMISSIONER GORDON: Yes?

2 DR. _____: We have a long running
3 patient advisory council. It goes back to 1972.
4 And as a general practitioner I can understand
5 research design if I am a part of that team
6 designing the research and so can the patients.
7 And it was the inter-study that brought the SF36
8 to that council meeting and it was there that
9 they said, "So where' is your spiritual health?"

10 The same idea of patient advisory
11 council could serve the work site very well,
12 too, because there are a lot of issues not only
13 about insurance but about health status of the
14 work site that would benefit productivity and
15 the bottom line for industry.

16 So those are two places where the
17 patients could have a major say so in directing
18 policy and research design and their own care.

19 COMMISSIONER GORDON: Great.

20 Linnea, you had -- no?

21 Any more questions?

22 COMMISSIONER JONAS: And I think one
23 thing that was implied or actually stated was
24 stratification by risk also was important.

25 Something that is extremely low risk or

1 it is being used for something in which if the
2 alternative was not used, it would not create
3 undue risk for the patient is a different
4 situation than something often in oncology where
5 there may be high risk consequences to not going
6 forward with a particular therapy or providing
7 standard of care.

8 So again those are --

9 COMMISSIONER GORDON: Wayne, I am
10 wondering if when we do the next research panel
11 if we could not focus on this whole issue.

12 COMMISSIONER JONAS: I think we should.
13 I mean, you know, the whole issue of efficacy
14 versus effectiveness, theory versus patient
15 involvement in risk is important.

16 COMMISSIONER GORDON: Yes.

17 COMMISSIONER JONAS: It can be very
18 sticky. I mean putting back patients to bed,
19 for example, seems like a very low risk strategy
20 but when they actually tested it in a controlled
21 trial it did damage to them. They were worse,
22 the ones who went to bed than the ones who had
23 free rein. So it is not always easy to tell up
24 front what is risky and what is not.

25 COMMISSIONER GORDON: Thank you. Thank

1 you very much for a very stimulating discussion.

2

3 We are going to take a break for lunch.

4 We will return and begin promptly at 1:30.

5 (Whereupon, a luncheon break was taken.)

6

* * * * *

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25