

1 benefits as OPM deems necessary or desirable. For
2 example, immunizations for children, mammography
3 screenings, those kinds of things.

4 Once contract negotiations are completed,
5 consumers are given extensive benefits information. OPM
6 publishes an FEHB guide each year. The individual health
7 plans develop, in conjunction with us, plan brochures,
8 which are distributed widely. And, finally, our website
9 at this point is a very important source of information.
10 It not only includes the guide and the plan brochures,
11 but also links to a decision support tool and has access
12 to all kinds of information that is useful in helping our
13 consumers make an informed choice.

14 In April of 2001, we issued our annual call
15 letter, which gives policy guidance to the plans. We do
16 this every year. That policy guidance tells the plans
17 what we will be looking for in the negotiation cycle for
18 the following contract year.

19 In that call letter, we stated, "Where there is
20 demonstrated medical effectiveness and consistent with
21 your overall strategy for benefit design, we encourage
22 you to consider services such as chiropractic,

1 acupuncture, biofeedback, and others that are being used
2 increasingly for pain management and as alternative
3 treatments.

4 We did that for a number of reasons. For one
5 thing, we have been hearing from our customers that there
6 is increased interest in coverage for alternative and
7 complementary services. This program, the Federal
8 Employees Health Benefits Program, it is considered the
9 national model of a consumer choice program. So, we
10 listen very carefully to what consumers tell us. To the
11 degree that their requests are reasonable and that they
12 make sense, that they are affordable, that they can be
13 accommodated within the statutory and regulatory
14 framework of the program, we encourage our carriers to
15 listen, as well, to those consumer interests and frame
16 their benefit proposals accordingly.

17 So, that is what we did in our call letter this
18 year. This was the first time that we specifically
19 addressed the issue of alternative and complementary
20 medicine.

21 Rates are set by negotiation between OPM and
22 the carriers. We have two different rating

1 methodologies. The fee-for-service plans and a small
2 number of the HMOs are what we call experience rated.
3 The vast majority of the HMOs are community rated.
4 Regardless of the rating methodology, all the enrollees
5 within a plan pay the same premium. It varies by self
6 only or self and family type of contract, and some plans
7 have both a high and a standard option. But, wherever
8 you live in the country, if you are enrolled in a fee-
9 for-service plan and whether you are an active employee
10 or a retiree, you will pay the same premium for that
11 plan. The HMOs, of course, are geographically based.

12 As I said earlier, the contribution formula is
13 set in statute. We typically refer to it as the fair-
14 share formula. It consists of the weighted average of
15 all the plans in the program. We take the weighted
16 average of all the plans and there is a cap so that the
17 contribution to any given plan's premium cannot exceed 75
18 percent. The government share is then 72 percent of that
19 weighted average premium. The balance is paid by the
20 employee or retiree, and the agencies actually collect
21 the premiums for us by payroll deduction and remit them
22 to OPM. We, in turn, remit them to the carriers.

1 Our contracting cycle goes like this: the
2 annual time line of our activities, February, March, we
3 review and accept or deny new plan applications, and the
4 program, by law, is open at this time only to HMOs. The
5 way the statute is written, we can't admit new fee-for-
6 service plans. We can readmit plans that were once in
7 the program and then dropped out.

8 June to August, we negotiate benefits and rates
9 for the next contract year. June to September, we
10 collaborate with the carriers on plan brochure language.
11 November to December, there is a four to five week annual
12 open season period.

13 OPM designs and contributes to the design of
14 the guides and distributes the open-season guides. We
15 provide agencies with open-season guidance through
16 benefits administration letters. We provide plan
17 information and links to comparative information and
18 decision tools on the FEHB website. That website is
19 www.opm.gov/insure. It has become a very significant way
20 of communicating with our enrollees.

21 I can tell you that during those opening weeks
22 of the open season, even though we rent additional server

1 capability, we are overwhelmed and typically the servers
2 basically are at maximum capacity.

3 The agencies make the guides and brochure
4 information available to employees. They conduct health
5 fairs. They counsel employees and process enrollment
6 changes.

7 Year-round, OPM monitors plan performance,
8 responds to customer concerns, resolves disputed claims,
9 monitors industry trends, consults with carriers, and
10 develops guidelines for the next contract year.

11 If I had to make an analogy in terms of how we
12 operate, we consider ourselves and function very much
13 like any other large employer health benefits plan, which
14 means, and you probably noted in my presentation, that
15 there are some fairly significant differences in the way
16 we function as opposed to the way HCFA functions, HCFA
17 being a national entitlement program.

18 MS. CHANG: Excuse me, you have about three
19 minutes. I just wanted to let you know.

20 MS. BLOCK: So, basically, if you were to think
21 of who we are and how we operate, we are very similar in
22 many ways to, say, a large employer like GM. We are a

1 major purchaser. We are the largest purchaser, really,
2 in the health insurance market, but we are an employer-
3 based health insurance plan, and our model is a market
4 model, featuring consumer choice.

5 **Panel Discussion**

6 DR. GORDON: Thank you very much. That was
7 also extremely clear.

8 I have a question first for Dr. Whyte while
9 other people are formulating questions. One of the
10 striking things about your presentation is the opening
11 that has now been extended to Dean Ornish and Herb
12 Benson's programs. How did that come about? How was the
13 choice made to make it a study, rather than a covered
14 benefit for everyone? And what lessons can you share
15 with us?

16 DR. WHYTE: Well, maybe I can provide some
17 general information. Dr. Ornish might want to talk about
18 his own experience. What I can tell you in terms of why
19 it was decided to do under a demonstration authority, and
20 I didn't talk much about demos, but basically our demo
21 authority relates to when devices or services may not
22 already exist in a benefit category or there are perhaps

1 some statutory restrictions relating to scope of services
2 or other issues relating to that, it was the feeling of
3 the senior leadership at HCFA that Dr. Ornish's program
4 did not fall into a specific benefit category.

5 So, although there might have been some
6 services that could have been covered, that the
7 constellation of benefits that Dr. Ornish offered did not
8 have a discrete benefit category. There was also the
9 feeling on some people's part that additional data in the
10 Medicare population was necessary.

11 So, what was decided to do was a demonstration
12 project so we would be able to provide for all these
13 services that may not have been able to have been covered
14 under the present structure. So, that is how Dr.
15 Ornish's program got covered. Basically, it was
16 determined through HCFA.

17 Dr. Benson's program was actually mandated by
18 congressional action under the Benefits Improvement
19 Protection Act, known as BIPA. So, that is how those two
20 programs were designed. One was more executive. One was
21 more a congressional action.

22 DR. GORDON: And did the congressional action

1 mandate the demonstration, or was that a HCFA decision?

2 DR. WHYTE: It mandated the demonstration with
3 1,800 patients, similar to Dr. Ornish's, as well as some
4 other time frames and things of that nature.

5 DR. GORDON: Thank you. Any general lessons,
6 as we think about complementary and alternative medicine?

7 DR. WHYTE: Sure. I think it is important to
8 keep in mind that there is demonstration authority, but,
9 as Steve, Maureen, and I talked about, there is not a lot
10 of money to do demonstration projects, and most of the
11 demos that we do, basically, are congressionally-
12 mandated.

13 I wouldn't necessarily discourage you from
14 going that approach. I think it is something that you
15 should carefully about. In the overall context of the
16 coverage policy, you could look to see where there is the
17 greatest data on a particular service and go through the
18 national coverage process, is one route. Then you could
19 think of things like the Dean Ornish program, similar
20 types of services where there is a lot of data and that
21 looks very encouraging, but perhaps may not be able to be
22 covered under existing benefit categories and think of a

1 demonstration authority and that approach.

2 So, that would be my counsel, that you look at
3 the spectrum of opportunities available, but I wouldn't
4 put all your cards in a demonstration approach, because
5 the options are very limited.

6 DR. GORDON: So, Congress could have said, in
7 talking about the Benson program, that they wanted
8 national coverage?

9 DR. WHYTE: Congress could have said that we
10 want to create a benefit program for either the Dean
11 Ornish program or something like the Dean Ornish program,
12 and then that is scored by the Congressional Budget
13 Office. I think that is an approach that people can
14 take. Again, Dr. Ornish might want to talk about his
15 experience, but I would think you all can appreciate that
16 it is difficult to get Congress to create new benefit
17 categories.

18 Something that people might want to think about
19 is how could the service be provided in already existing
20 benefit categories. There is the issue of, perhaps, the
21 incident two provision. I looked at today's time as an
22 opportunity to speak broadly and I don't want to go into

1 all the specifics of what is covered under an incident
2 two provision, because I look at today as a dialogue that
3 we can continue to have information. So, that is one
4 approach to use, look at already existing benefit
5 categories.

6 But, certainly Congress can mandate the benefit
7 and say, you are going to cover a lifestyle modification
8 program.

9 DR. GORDON: In looking at already existing
10 benefit categories, are there some that are applicable to
11 complementary and alternative medicine that you would
12 see?

13 DR. WHYTE: That is a hard question to answer,
14 because there is a wide range of complementary and
15 alternative medicine benefits. So, not knowing
16 particularly what you are thinking about, it is hard to
17 guess. But what I would imagine is that there probably
18 are certain benefits that could be provided. Acupuncture
19 is something that we don't cover, but we have had a lot
20 of discussions at HCFA as to whether or not it could fall
21 into a benefit category.

22 Most things probably could fall into a benefit

1 category, but what is going to get caught up in is who is
2 eligible to provide those services. As some of the other
3 speakers have talked to about, when the Medicare program
4 was established, most of the services are provided by
5 physicians. I believe that many of your services are
6 provided both by physicians, as well as other types of
7 providers.

8 So, you need to think about that, because you
9 may end up getting a service provided, but the type of
10 person that you would want to be able to provide those
11 services would not be able to be paid for under the
12 Medicare program. I can provide the specific statutory
13 language to Steve and Maureen about what Medicare defines
14 as a physician. It is more than just an M.D. or a D.O.
15 There are other people that fall into it, but I think
16 most of the providers to which you would refer are not
17 covered by that language.

18 DR. GORDON: I have Tieraona and Joe Dean. I
19 wondered if you wanted to add anything to this
20 discussion, at this point?

21 DR. ORNISH: No. I just wanted to say I
22 thought the presentations were very clear, and I could

1 add a lot some other time, but it would take much too
2 long at this point. But, again, I wanted just to say how
3 much I appreciate you both coming and how clear and
4 useful. If I had heard your presentation six and a half
5 years ago, my experiences with HCFA would have been much
6 easier.

7 [Laughter.]

8 DR. GORDON: Thank you. Tieraona, and Joe, and
9 Tom.

10 DR. DOG: I think a lot of what we keep hearing
11 is that the research needs to be there and that for some
12 things it may be premature and for other things we have
13 got adequate research. I think we would all agree with
14 that. I had a question, though, that confused me just
15 a little. If you had lobbyists and somebody got somebody
16 in Congress to really say, gosh, we need to cover this,
17 and it was mandated, if there is not the evidence there,
18 do you have the right to veto Congress? Do you hear my
19 question? Can something come in and we end up mandating
20 it without the body of evidence there because we have got
21 powerful lobbyists who have said we want to pay for it,
22 we want to have it covered?

1 DR. WHYTE: I thought of some flip things to
2 say, so I'm glad we have a little delay.

3 What I would say is that if Congress in its
4 wisdom mandates that a benefit be provided by the
5 Medicare program, it is provided.

6 DR. DOG: It is mandated.

7 DR. WHYTE: That us correct.

8 DR. GORDON: Thank you.

9 Joe?

10 DR. FINS: That was sort of my question. I was
11 wondering about any precedent, when there has been a
12 national coverage decision, when Congress has then
13 decided to cover a benefit.

14 A related question that goes to your first
15 response to the issue of a demonstration project. Does
16 your office have, and your division more generally, have
17 the resources not to fund projects, but to assess the new
18 technologies, the new interventions? Do you folks need a
19 more robust budget to accommodate this growing area in
20 the health-care marketplace?

21 DR. WHYTE: Perhaps I will answer the second
22 question first. The Secretary has been very successful

1 in getting greater resources and funding for HCFA to
2 perform its numerous responsibilities that Congress
3 mandates us to do.

4 In terms of assessments, we are doing
5 assessments continually, that is primarily what the
6 coverage and analysis group does, primarily assess new
7 technologies. So, the answer to your question would be
8 that is typically what we do.

9 DR. FINS: You said a therapy is a therapy is a
10 therapy, so you are saying there is nothing new under the
11 sun here with respect to the CAM interventions or the
12 methodologies that would require additional coverage?

13 DR. WHYTE: Sure. Now, what I will tell you,
14 on our website, we list what the pending determinations
15 are, as well as completed determinations. In general,
16 presently of the 19 pending determinations, there aren't
17 currently any what you would consider complementary and
18 alternative medicine policies.

19 In terms of our completed determinations, there
20 is completed determination on acupuncture, which was
21 request to review the non-coverage policy which was
22 denied. Then there is a treatment on biofeedback, which

1 is primarily used for urinary incontinence, and I'm not
2 completely sure you would consider that complementary and
3 alternative medicine, but we do have now a national
4 coverage policy on that therapy, whereas before it was
5 carrier discretion.

6 Just quickly in reference to an intervention is
7 an intervention is an intervention, what I can tell you
8 is when we look at therapies in our division, I don't
9 take the approach that this is complementary and
10 alternative. I think that is something that would be
11 productive for everyone to move away from and just focus
12 on, what is the body of literature that supports this
13 therapy.

14 DR. FINS: Just to follow up the question about
15 the national non-coverage decision that might have been
16 overruled -- not overruled -- but funded by Congress.

17 DR. WHYTE: Off the top of my head, I cannot
18 think of one. What Congress usually does, is, when it
19 creates the benefit categories, it is usually for
20 preventive medicine benefits, or it is usually for some
21 type of prescription drug benefit, such as an RLA [ph] in
22 a cancer benefit, RLA in medics, things of that nature.

1 DR. GORDON: Just a clarification. When you
2 say Congress, you mean the House of Representatives?

3 DR. WHYTE: No, I mean Congress, the House and
4 the Senate.

5 DR. GORDON: Both houses, okay.

6 Tom?

7 MR. CHAPPELL: Ms. Block, I would like to ask
8 you more about your recent experience with the expansion
9 of benefits into the complementary and alternative
10 medicine field.

11 It appears to me that your consumers are
12 driving these benefits. Is that correct?

13 MS. BLOCK: I would say that consumer interest
14 is playing a very big role in our efforts to expand these
15 benefits, yes.

16 MR. CHAPPELL: And the employer-sponsored
17 styled services are responding to that demand?

18 MS. BLOCK: Well, yes, although I don't want to
19 mislead you. The response so far has been fairly
20 limited. What is offered under the packages that are
21 currently available is fairly limited, with the same
22 kinds of issues that Dr. Whyte spoke about.

1 The health plans typically, and we are dealing
2 primarily with traditional insurance companies, at least
3 on the fee-for-service side, they do exactly the same
4 kind of thing as you would see in the Medicare program,
5 in that, they may elect to cover a service, but that
6 service will only be covered by someone that they define
7 as a covered provider.

8 So there is the issue of, the service may be
9 covered, but not all providers of that service would be
10 covered. We have hoped to see some expansion of those
11 definitions of coverage provider, but it is an iterative
12 process.

13 Some of our plans currently are not covering
14 alternative or complementary services under their FEHB
15 benefit package, but are covering them as an alternative
16 benefit, which for the past 10 years we have enabled our
17 plans to offer. We have in every plan brochure, we have
18 what we call the non-FEHB page.

19 In that process, plans can offer as a
20 supplementary package to their enrollees other benefits
21 which are not part of the FEHB program, they are not
22 covered under the FEHB premium. There is an additional

1 premium for those services, but people who enroll in the
2 plan may then elect to enroll in that supplementary
3 package.

4 Blue Cross Blue Shield, for instance, our
5 largest plan, has what they call their affinity benefit
6 package, and that includes some of the alternative and
7 complementary services.

8 So it is a very gradual process. I don't know
9 what we are going to see in the proposals for 2001,
10 because we haven't gotten them yet. We will see when
11 they come in, to what degree the insurance carriers have
12 responded to our call letter guidance.

13 I can tell you that I think this is going to
14 happen. It is going to happen over time. I don't think
15 it is going to happen terribly quickly. We are not going
16 to see rapid transition. We are going to see a very
17 gradual process as the insurance industry really responds
18 to the consumer interest, because the way our program
19 operates, we don't legislate benefits. We try to avoid
20 mandating benefits, to the greatest degree possible.

21 Speaking of process, and in my previous
22 meetings this has been my suggestion, the best way to get

1 things covered in the Federal Employees Health Benefits
2 Program is to work directly with the insurance carriers.

3 To the degree that they are hearing from their
4 customers, they should respond within the context of
5 their overall benefit package, their competitive position
6 in terms of benefits and rates.

7 So it is not a simple thing, because any
8 changes that they make, they will consider very carefully
9 in terms of how they look in their market, what their
10 rate looks like as compared to their competitors, and
11 what their benefit package looks like.

12 To the degree that they can offer something in
13 that benefit package that they think will be attractive
14 to consumers, they are interested in doing that. So it
15 is very much a competitive market orientation.

16 MR. CHAPPELL: Thank you. That is a very
17 helpful description. I am just wondering if you could
18 identify the most obvious barriers to the insurers'
19 process of change here.

20 MS. BLOCK: I think the most obvious barrier is
21 resistance to change. We really are dealing with
22 traditional insurance companies that have a traditional

1 perspective.

2 I guess the second most significant barrier is
3 the rate impact. We are looking, and it is no secret at
4 this point, we are looking at very significant premium
5 increases for 2002. We are very concerned about them.

6 The President, in fact, in his budget, has
7 talked about the premium increases in the Federal
8 Employees program over the last several years.

9 Our premium increases, by the way, are very
10 much in keeping with the private sector. It is not that
11 ours are out of line. It is just an industry trend.
12 Premiums are going up for everyone. We expect them to go
13 up significantly in 2002.

14 So, practically speaking, the insurance
15 carriers are going to be looking at their benefit package
16 in the context of those rate increases and how those rate
17 increases are going to affect their market share.

18 DR. GORDON: Joe Pizzorno, and then Wayne, and
19 anyone else. Joe?

20 MR. PIZZORNO: In Washington state, where we
21 have chiropractors and acupuncturists licensed as primary
22 care providers, and naturopathic doctors as primary care

1 physicians, we have a state mandate requiring that every
2 category of provider be included in all insurance
3 programs.

4 Does that include the federal employees? For
5 example, somebody in Seattle who works for federal, can
6 they go and see a naturopathic doctor or chiropractor for
7 their primary care? And, if not, what has to be done to
8 change that?

9 MS. BLOCK: Well, this is the situation in
10 terms of state mandates. We have language in our statute
11 that enables us to preempt state mandates, and the reason
12 for that is our national fee-for-service plans. We
13 wanted to be sure, and the Congress wanted to be sure,
14 going all the way back in time, that everybody who
15 elected one of those national plans got the same benefit
16 package and paid the same rate.

17 If we didn't do that, we would have a situation
18 where people would be paying the same premium, because we
19 have a national premium, and getting a different benefit
20 package, depending on the state that they lived in. So
21 it has been our practice always to preempt state mandates
22 for the national plans.

1 On the other hand, for the HMOs, we do not
2 preempt state mandates, although we could. Our
3 philosophy there is we want our enrollees in a given
4 geographic area to have the same benefits as anybody else
5 living in that state.

6 So the HMOs that are domiciled in Washington
7 state have to follow the state mandates, which means that
8 federal employees and retirees who enroll in those plans
9 get the same benefits as any other resident of the state
10 of Washington, but those people who enroll in a fee-for-
11 service plan do not.

12 DR. GORDON: Wayne?

13 DR. JONAS: Thank you both for very nice
14 presentations. Most of my questions have been answered.
15 I have a question, really, for Dr. Whyte.

16 My sense is that you are largely reactive, in
17 the sense that individuals have to come forward and say,
18 we would like to have this covered, and provide the
19 documentation for it. Then you take it in through your
20 process. Is that correct?

21 DR. WHYTE: That is basically correct. We do
22 have internal requests, but we are moving more towards

1 being responsive. At the same time, we are also working
2 with people before that request comes forward.

3 So it is not truly reactive. In many ways, we
4 are working with interested parties.

5 DR. JONAS: Right, but you don't go out and
6 say, here are some things that can improve quality of
7 care, reduce costs, enhance quality of life; we are going
8 to see if we can identify whether there is adequate
9 evidence to provide this as a benefit. Is that correct?

10 DR. WHYTE: I think the approach that we have
11 used --

12 DR. JONAS: Both Benson's came to you, through
13 different mechanisms, obviously.

14 DR. WHYTE: That is correct, but I think what
15 we have done over the past few years, and what we are
16 continuing to try to do, really, is to outreach to
17 interested parties.

18 So what I would say is part of the coverage
19 process, we are going to a lot of national meetings of
20 beneficiaries, of device manufacturers, of physician and
21 other groups to talk about our coverage process. So, in
22 a sense, what we are saying is, here is our process and

1 we know you all believe that there are certain things
2 that should be covered, so, here is our process; work
3 with us to try to bring that about.

4 A good example is last Friday, several people
5 from HCFA went to the American Medical Association to
6 meet with various clinical specialty societies, but we
7 also met with the National Health Leadership Council,
8 which is a wide range of providers and beneficiaries and
9 other groups to say, what are the top 10 coverage ideas
10 that you have that you think HCFA should be doing.

11 So we are not necessarily going out and telling
12 people what they should request, but what we are saying
13 is, as we would do here today, we know you are all
14 interested in certain therapies to be covered under the
15 Medicare program; here is our process, here is how we can
16 work together, here is what we would look for, and then
17 if you want to bring it about, this is how we do it.

18 DR. JONAS: Are there individuals on either of
19 your advisory committees that you would consider, or,
20 would be considered, broad experts in a variety of
21 complementary medicine approaches that sit on those
22 committees at this time?

1 DR. WHYTE: Well, we have a 100 members of our
2 advisory committee, and I can't think off the top of my
3 head what every one does, but I know we have a wide range
4 of disciplines, including at least one chiropractor.
5 Every one is not a physician. There are a lot of other
6 providers. But I think it goes to your question, the
7 point that I would make is, again, it goes to let's move
8 away from the labels and let's talk about the field of
9 health services research and how you look at data.

10 So, I'm primarily interested in, when I help
11 staff the committees, let's find people who are
12 objective, who know how to analyze the literature. I
13 still agree with you that we need to have these other
14 interests just to sensitize people to the topics, but I
15 wouldn't want to move to a field where we are kind of
16 then counting, say, on our advisory committee and other
17 groups how many of these people are alternative medicine
18 or complementary medicine, because I think it takes away
19 from what you are all trying to do.

20 I think that something that Dr. Ornish has
21 often talked about is let's not necessarily refer to this
22 as alternative, this is a therapeutic intervention, and

1 let's judge it on those merits.

2 So, again, that is what I would encourage you
3 to do. I would encourage you to apply to the advisory
4 panel because you feel you can bring some other
5 interests, but you are primarily going to be objective
6 and look at the literature.

7 DR. JONAS: I applaud you on your evidence-
8 based approach to this. I think that in God we trust,
9 but everyone else should show data, as the saying goes.
10 And actually my question is specifically related to that
11 issue, because, as you may or may not know, a number of
12 complementary medicine practices, especially traditional
13 medical practices, are not based on nor do they have the
14 same assumptions of diagnosis and therapy that currently
15 the evidence approach you take and the benefit categories
16 that you have fall into.

17 So it is more than simply sensitizing
18 individuals to the topic, it is really understanding how
19 do these alternative diagnostic and therapeutic
20 categories fit into an evidence-based module, and how
21 might evidence be collected on those.

22 So I think it directly relates to making sure

1 that we provide good evidence-based medicine. If you are
2 going to make a decision about orthopedic surgery, hip
3 replacement or something, you want to make sure that you
4 have someone who has true expertise on that and not have
5 your pediatricians doing it or something like that.

6 I think a very similar idea falls into these.
7 It really is directly related to making sure you provide
8 good evidence.

9 DR. WHYTE: Can I just make two comments on
10 that? The two things that I would say on that is, one,
11 people will often come to us and talk to us about that
12 you cover this therapy and there is no evidence based on
13 that, so why aren't you covering our service.

14 I would caution you not to go down that route,
15 because what we are saying is that this is a new process
16 and there may be some things that do not have an evidence
17 base that we cover, but we are going to go back at some
18 point and look at those. We have a new process, and I
19 would focus on, that every therapy that comes under
20 review is going to have to have an evidence base.

21 The part where I disagree with you a little,
22 and I might not have heard you correctly, is what I would

1 say again is, there are already established principles of
2 health services research, and those should be applied
3 universally. I wouldn't necessarily change those for
4 alternative medicine strategies. I think an example is
5 thermography used for detecting breast masses. Several
6 people have come to talk to us about thermography.

7 At the end of the day, what we are always going
8 to say is, what is the evidence base, what does the
9 literature say. We can't get into the argument, well,
10 you already cover such and such, or, you are being
11 discriminatory. I really would say that we have to apply
12 the same standards universally and we really shouldn't
13 make any exceptions.

14 DR. GORDON: George?

15 DR. BERNIER: I would like to ask Ms. Block,
16 you had indicated that there was going to be probably a
17 pretty dramatic increase in the cost of the Federal
18 Employees program.

19 If you assume that the budget isn't going to
20 cover that, then the presumption would have to be that
21 the new cost will be entirely undertaken by the
22 employees?

1 MS. BLOCK: No. The formula is consistent.
2 The government will bear 72 percent of that increase.

3 DR. BERNIER: So that is sort of guaranteed?

4 MS. BLOCK: Yes. That is guaranteed, no matter
5 what. The government share is 72 percent. The
6 employee's share is approximately 28 percent.

7 I just want to make clear that when I say we
8 are anticipating a significant rate increase, this is not
9 unique to the Federal Employee Health Benefits program.
10 I think that all employers are going to be facing similar
11 significant rate increases this year. It is where the
12 industry trend is.

13 DR. BERNIER: And they don't have the federal
14 government behind them.

15 MS. BLOCK: No. We go through cycles,
16 apparently, in terms of health insurance premiums. What
17 we are seeing again is a rise in premium costs. Various
18 employers will deal with it in various ways. There is a
19 lot of talk about defined benefit and so on.

20 From our perspective, we have also been asked
21 by the President to look at ways of controlling costs in
22 the Federal Employee Health Benefits program, and we will

1 be doing that in conjunction with the Office of
2 Management and Budget, and the Administration.

3 DR. BERNIER: Thank you.

4 DR. GORDON: I have a brief question for you,
5 Ms. Block. What has the response been to the call
6 letter?

7 MS. BLOCK: Well, we haven't gotten the formal
8 proposals yet. They are due on May 31st. In terms of
9 this particular issue, I have not heard a great deal. So
10 we are kind of on a wait-and-see basis. As those
11 proposals come in, we will get a better sense of what the
12 various plans are going to do about it.

13 What they do is, between April and May 31st,
14 the carriers are working on designing their benefit
15 proposal and their rate proposal, and they don't talk to
16 us a great deal until those proposals come in.

17 So on June 1st, I will have a better idea.

18 DR. GORDON: I would certainly like to know.

19 The other question relates to, you made a
20 suggestion that local carriers were the ones who, to a
21 significant degree, determine what is going to be
22 covered. Do you have any thoughts about how to

1 communicate with them, how both federal employees and we,
2 as a commission, might communicate with them?

3 MS. BLOCK: Well, federal employees do every
4 day. They are very vocal. It is a very vocal group, I
5 can tell you. So I know that federal employees are out
6 there telling carriers what their interests are.

7 In terms of the Commission, we will be happy to
8 provide you with a list of carrier contacts. We have
9 done that in the past. It is simply a one-to-one
10 approach, contacting the carriers. They are usually
11 receptive to talking with people. They certainly would
12 be receptive to talking with you. It is an opportunity
13 to present your views.

14 So if you would like, we would be happy to
15 provide such a list. We have about 240 plans
16 participating in the program at this point.

17 DR. GORDON: The question I have is, apparently
18 the call letter doesn't allow the health plans to charge
19 additional premiums for some of these services. Is that
20 true?

21 MS. BLOCK: We have required that benefit
22 proposals be cost-neutral for many years now, and that is

1 not just true this year, it has been true for some time.
2 As premiums go up, we ask the carriers balance their
3 benefits proposals so that they are cost-neutral. So
4 yes, that is true.

5 DR. GORDON: I see. So the issue is, in a
6 sense, if you want to keep the premium the same and you
7 want to add these CAM therapies, to formulate some way to
8 add them, and either take away or reduce costs somewhere
9 else.

10 MS. BLOCK: Well, the rate-setting process is a
11 pretty complicated process. The premiums are never going
12 to be the same, because we are looking at ever-increasing
13 costs, but in terms of the actuarial value of the
14 benefits, we would ask that the proposal some how balance
15 off actuarial value so that the added benefit, in and of
16 itself, isn't adding to the premium cost.

17 That is not a terribly difficult thing to do as
18 you are developing a rate proposal and a benefit package.

19 DR. GORDON: Joe, you had a quick question?
20 Then we have to close.

21 DR. FINS: Yes. I think it is an important
22 point. The balancing that you are talking about,

1 creating a global budget in a sense, even though premiums
2 may creep up, is that there has to be a kind of
3 balancing. I think it gets back to what Dr. Whyte was
4 saying, it has to demonstrate efficacy that is comparable
5 or better than what is there to replace. It is kind of
6 like drug formulary in a sort of crude way. I think it
7 was helpful to hear it from you, as well.

8 DR. GORDON: Thank you both. I feel like my
9 mind is clearer than it was before you began. Thank you
10 for being very clear and succinct.

11 MS. BLOCK: Thank you for having us.

12 DR. GORDON: We are going to take a 15-minute
13 break now. We will come back and hear about state and
14 national perspectives.

15 [Recess.]

16 **Panel Session III: State and National Perspectives**

17 DR. GORDON: This panel is on state and
18 national perspectives. The first speaker will be Joy
19 Johnson Wilson.

20 **Presenter: Joy Johnson Wilson**

21 MS. WILSON: Thank you, Mr. Chairman, and
22 members of the Commission. It is a pleasure to be here

1 today to talk to you about state legislative and
2 regulatory initiatives related to complementary and
3 alternative medicine.

4 My name is Joy Johnson Wilson, and I am a
5 Federal Affairs Counsel and Director of the Health
6 Committee at the National Conference of State
7 Legislatures.

8 There isn't much written about what states are
9 doing in this area. I think that history is yet to be
10 written, but there is some activity. I think in many
11 ways the state regulation of this area is something of a
12 moving target, because what is complementary or
13 alternative today is likely to be a traditional and
14 standard treatment tomorrow.

15 So, as the different providers and the
16 treatments that they provide progress, oftentimes those
17 become standard treatments and are treated like
18 traditional providers.

19 So, when you look at how states are treating
20 complementary and alternative medicines, I would say that
21 to the extent that they are on the radar screen of the
22 state legislature, they are often treated like more

1 traditional providers, in that the state legislation
2 associated with them and regulations tend to focus on
3 licensure, scope of practice, educational or
4 accreditation requirements, reimbursement issues,
5 insurance coverage, and disciplinary and malpractice
6 concerns.

7 One are that I thought was kind of interesting
8 is the whole area of health freedom laws that have been
9 enacted in 12 states. These laws, at least initially,
10 started out as protections for providers that were
11 providing alternative or non-traditional therapies.
12 Oftentimes these mostly physicians were subject to
13 disciplinary action by the governing board of their
14 practice for providing treatments that were not
15 recognized by the board. These laws were instituted to
16 protect those providers from disciplinary actions by
17 those boards.

18 More recently, those laws have been also
19 pointed to the patient, to provide the patient with
20 access to alternative and complementary treatments.
21 There has been an effort to balance the willingness to
22 let providers and patients experiment a little and yet

1 provide the protections that are necessary for the
2 patient.

3 So, the new laws have consent requirements,
4 informed consent. They have documentation requirements.
5 They oftentimes have the physician make an assessment of
6 a patient's availability for treatments that are
7 conventional and non-conventional, and then have a
8 discussion about what might be the most appropriate
9 treatment for that patient.

10 So, there is a balancing act between allowing
11 treatments that may not be recognized by the governing
12 board for the physicians or other health care providers,
13 providing patients with a full access, a full range of
14 treatments, while at the same time trying to ensure that
15 there is protection for those consumers, that they know
16 what they are getting into when they participate.

17 The most recent law actually became law I think
18 last week. That was in Florida. It does have practice
19 guidelines written within the law that speaks to informed
20 consent and also speaks directly to the right of the
21 patient to avail themselves of these alternative
22 treatments.

1 There are two states that have extensive
2 regulations on health freedom. That is Texas and Nevada.
3 One of the interesting things I found out when doing the
4 research for this presentation is that Texas has a
5 provision in its constitution that says that you cannot
6 give preference to certain schools of medical thought.
7 This has led to some case law, which has led to
8 supporting statute and regulations that are very
9 supportive of alternative treatments and therapies. But
10 also the regulation in Texas has extensive guidelines
11 regarding informed consent and patient assessment and
12 documentation.

13 I think that is the trend that you will see in
14 the years coming, in terms of states looking at these
15 kinds of laws.

16 In terms of alternative providers, and I did
17 not actually consider chiropractic an alternative
18 provider, because I have seen quite a bit of activity in
19 that area since I have been at NCSL, but chiropractic and
20 acupuncture are two areas where they have moved almost
21 from the alternative to the mainstream. You see that
22 reflected in the kinds of legislation that is enacted in

1 these two areas. Most of it has to do with scope of
2 practice, reimbursement, and actually they get into the
3 nitty-gritty of how many visits and how many visits will
4 be reimbursed. So, I think these are treated more like
5 traditional treatments in terms of legislation.

6 Forty-five states have universal licensure and
7 reimbursement equity for chiropractors and thirty-five
8 states either have licensure, certification, or
9 registration for acupuncture. So, clearly these are
10 treatments and providers that are being treated more like
11 mainstream, traditional health care providers.

12 Massage therapy, I think, is well on its way to
13 becoming treated more like chiropractic and acupuncture.
14 We have 29 states and the District of Columbia that
15 license or regulate massage therapists. Again, when you
16 look at the legislation, most of it has to do with scope
17 of practice, almost entirely scope of practice, and some
18 of it is who would regulate them and credentialing.

19 Finally, in terms of emerging alternative
20 treatments and providers, homeopathy, we are seeing a
21 little bit of legislation in that area, mostly regarding
22 the establishments of boards to regulate the practice.

1 Naturopathy, we have 11 states that license
2 those health care providers. Very new, in my view, and
3 there are others that might know more about this,
4 nutritional providers came up a few times. I don't know,
5 I suspect maybe this is renaming something, because most
6 of it has to do with dietitians, maybe it is renaming
7 some things that were being done before and giving it a
8 new title and maybe expanding scope of practice. Most of
9 the nutritional providers are linked to credentialing for
10 dietitians and talk about various kinds of nutritional
11 therapies that those individuals can provide.

12 So, again, most of what you see in terms of
13 introduced legislation, and there is certainly a lot more
14 introduced legislation than there is enacted in this
15 area, is pretty traditional stuff, regarding scope of
16 practice, whether or not there is insurance coverage.

17 For chiropractic and acupuncture, they are more
18 mainstream, there is a lot more discussion about
19 reimbursement issues and whether if you are not a
20 physician whether you have to operate under the
21 supervision of a physician, so the direct access issue
22 comes up. But, certainly in the newer areas, it is more

1 credentialing than anything else.

2 I'm going to stop there. Thank you very much.

3 I would be happy to answer questions.

4 DR. GORDON: Great. Thank you very much.

5 Marilyn Francis.

6 **Presenter: Marilyn Francis, R.N., M.P.P**

7 MS. FRANCIS: Good morning. My name is Marilyn
8 Francis, and I am the Director of Quality Management and
9 Health Services Research for the American Association of
10 Health Plans.

11 AAHP is a member organization, representing
12 over 1,000 HMOs, preferred provider organizations, and
13 other similar network health care entities.

14 We thank you for the opportunity to speak here
15 today in front of this Commission. Let's start with some
16 general comments. Over the past 20 years, consumer
17 demand and the availability of complementary and
18 alternative medicine have steadily increased. Health
19 plans made decisions on benefit coverage based on the
20 demand and availability of specific services and their
21 clinical efficacy, safety, and potential cost
22 effectiveness.

1 The two critical challenges health plans face
2 when deciding which CAM therapies to offer their benefit
3 packages are the lack of licensing and practice standards
4 for certain disciplines and a paucity of research on
5 efficacy.

6 Many health plans offer such CAM options as
7 chiropractic medicine, acupuncture, massage therapy, and
8 nutritional counseling in their core benefit offerings.
9 These therapies have research evidence that demonstrates
10 efficacy and there are standards for education,
11 licensure, and practice.

12 Chiropractic medicine, for example, has
13 licensure requirements in all 50 states, while the other
14 therapies mentioned have requirements in almost half the
15 states. These standards, along with research evidence,
16 allow health plans to set their credentialing,
17 performance measurement, and quality improvement programs
18 as they do for their allopathic medicine programs. These
19 three factors are central to health plans' quality
20 agendas.

21 In other cases, health plans may offer optional
22 riders for other CAM therapies, such as herbal therapy,

1 reflexology, and yoga that do not have clearly defined
2 standards or a body of research evidence, but the level
3 of interest and demand by members and/or purchasers is
4 high. In these instances, members may be offered
5 discounts or services at a contracted rate without
6 primary care referral if the member uses the health plan
7 network of alternative practitioners.

8 Health plans are committed to evidence-based
9 care and selection of qualified providers, based on
10 training, experience, and/or licensure and/or
11 certification. While there are many issues of importance
12 related to the integration of CAM therapies into health
13 plan benefit packages, the issues I will focus on are
14 evidence-based care, credentialing, and practice
15 standards, as these are the three basic considerations
16 for benefit decision-making.

17 Evidence-based care. Health plans have
18 supported the use of evidence-based care in allopathic
19 medicine. The development and use of evidence to
20 determine the most appropriate care has been advanced by
21 the efforts of the Agency for Health Care Quality and
22 Research and other research organizations.

1 These efforts were further supported by the
2 recent Institute of Medicine report, "Crossing the
3 Quality Chasm," which offers that "Patients should
4 receive care based on the best available scientific
5 knowledge. Care should not vary illogically from
6 clinician to clinician or from place to place."

7 Before CAM therapies can become more integrated
8 into allopathic health care systems, the evidence to
9 support safety and efficacy must be developed. The body
10 of scientific knowledge on effectiveness and appropriate
11 standards of care is sparse or absent from many of the
12 centuries-old systems of CAM, such as Ayurvedic medicine,
13 as well as newer therapies, such as light therapy.

14 Current research efforts by the National Center
15 for Complementary and Alternative Medicine and other
16 research organizations are making headway in developing
17 this body of knowledge. This is an important step in
18 determining the effectiveness, efficacy, and safety in
19 using CAM for specific medical conditions.

20 Further, the knowledge gained from these
21 research activities will support the development of
22 practice standards that are essential for accountability

1 and meaningful monitoring and evaluation of quality.

2 The difference in philosophies between CAM and
3 allopathic medicine makes it both difficult to fit CAM
4 into the health care structure that is dominant in the
5 United States and yet attractive to patients and
6 professionals desiring a holistic approach to care.

7 Where alternative practitioners view health as
8 a balance between mind, body, and spirit, the allopathic
9 practitioner views health as the absence of disease. The
10 rigorous evaluation of balance between mind, body, and
11 spirit for evidence-based care is difficult at best. For
12 this reason, supportive research on CAM therapies is
13 crucial.

14 Evidence-based care is a steady theme in the
15 health care quality improvement agenda for health plans,
16 as well as other health care entities. In light of the
17 IOM report on the need for quality improvement throughout
18 the health care system and its inclusion of evidence-
19 based care as an aim for realizing this improvement, an
20 open exchange for dialogue, such as what we have here
21 today, is important, and then to have a platform for
22 developing strategies for integrating this theme into CAM

1 practices is a valuable effort.

2 Evidence-based care is only part of the
3 picture. Professionals delivering that care should have
4 the appropriate skills and training, as documented
5 through education, licensure and/or other types of
6 certification. The credentialing process is used to
7 verify that health care professionals have the necessary
8 skills and ability to provide safe, high-quality care.

9 Further, the process is used to determine if
10 the provider has had any quality problems, such as
11 malpractice suits, legal actions, or professional
12 sanctions against him. Accreditation programs developed
13 by organizations, such as the National Committee for
14 Quality Assurance, NCQA, the Joint Commission for
15 Accreditation of Health Care Organizations, JCAHCO, have
16 been beneficial in standardizing and increasing the
17 effectiveness of the credentialing process.

18 While the credentialing process used by health
19 plans and other health care entities is not foolproof, it
20 is the best tool health plans and consumers have to
21 determine the baseline ability of a health care
22 professional to practice their discipline.

1 The education and licensing requirement for
2 physicians, nurses, and other mainstream health care
3 professionals practicing conventional medicine are well
4 known and clear. Requirements for certain CAM
5 professionals, such as chiropractors, acupuncturists, and
6 massage therapists are also well defined. However, there
7 are many complementary and alternative medicine
8 disciplines that do not have clear standards for defining
9 a qualified practitioner.

10 This is an obstacle for determining the options
11 of CAM providers and therapies to be included in health
12 plan benefit packages.

13 Education and training to become a practitioner
14 of CAM therapies vary widely from a few weekends of
15 training to several years of education plus a fellowship,
16 leading to certification and/or licensure. If there is
17 no state licensing or other certification process, what
18 methodology should be used to assess the practitioner's
19 baseline qualifications for performing their therapies.

20 Some health plans use advisory boards to
21 facilitate the process for defining qualifications
22 necessary where there is little guidance found in the

1 form of certification or licensing standards from the
2 profession or through state regulation.

3 In general, health plans offering complementary
4 and alternative medicine to their enrollees use methods
5 that parallel those that are used for their allopathic
6 providers where possible. These include review of
7 practitioner databanks and other types of data to
8 determine if there are sanctions or legal actions
9 documented, the number of years the practitioner has been
10 in practice, education, fellowships, and residencies, and
11 the extent of the program attended, and a site visit to
12 assess and make sure that the office is appropriate and
13 equipped for the type of therapies that the practitioner
14 has said they were going to provide.

15 These are important actions taken by health
16 plans for determining the basic level of preparedness for
17 a CAM practitioner, especially for those in a discipline
18 that does not require any form of licensing or
19 certification or secondary vetting.

20 Strategies for developing consistent national
21 standards for education, training, and possibly licensure
22 that are appropriate for the various CAM therapies are

1 needed. Additionally, ensuring that these standards are
2 publicly available to health plans, consumers, and other
3 health care entities is important for the support of
4 benefit decision making.

5 Standards of Practice. Defined standards for
6 the level of education and training, combined with
7 scientific evidence of care, provide a framework for
8 developing standards of practice. Health plans are held
9 accountable by governments, purchasers, and other
10 accrediting organizations for ensuring that their systems
11 and processes of support practice standards. Health
12 plans hold their providers accountable for using accepted
13 standards of practice.

14 The baseline thing I would like to say, though,
15 is that in order for health plans to make their benefit
16 decisions and also meet their quality agendas, it is
17 important for there to be a good a system of
18 credentialing, evidence that services provided are
19 efficacious and also safe, and the third is standards of
20 practice so they measure quality.

21 DR. GORDON: Thank you. Thank you very much.
22 We appreciate that. The rest of the testimony is there

1 in the written testimony.

2 Alan Korn.

3 Presenter: Alan Korn, M.D.

4 DR. KORN: Mr. Chairman and members of the
5 Commission, I am Alan Korn, the Senior Vice President for
6 Clinical Fairs and Chief Medical Officer for the Blue
7 Cross and Blue Shield Association. I sincerely
8 appreciate the opportunity to speak today on behalf of
9 the Blues about health insurance perspectives on
10 complementary and alternative medicine.

11 The Association is a national coordinating body
12 of 45 independent Blue Cross and Blue Shield plans that
13 provide health care coverage to one in four, or more than
14 80 million, Americans. All plans are members of the
15 Association, which licenses the plans to use the Blue
16 Cross and Blue Shield name and trademark.

17 Blue Cross and Blue Shield plans constitute the
18 largest, most experienced provider of health care
19 coverage in the country, but each plan is an autonomous
20 organization that operates independently in its own local
21 service area, where it has the flexibility to respond to
22 local market demands and health care needs.

1 Over the past several years, health plans have
2 become increasingly interested in complementary and
3 alternative medicine due to strong public demand.
4 Published studies, in fact, suggest that more than 600
5 million visits are made annually to such providers, more
6 than the total number of visits to all primary care
7 physicians. Recent surveys conducted by the Association
8 indicate that about a third of responding Blue plans,
9 especially HMOs and PPOs, accommodate member demand for
10 acupuncture services, while 8 percent accommodate
11 naturopathy and 7 percent homeopathy. PPOs are more
12 likely to accommodate homeopathy and 18 percent reported
13 doing so.

14 I would like to clarify that the Association
15 does not consider chiropractic services to be
16 complementary or alternative for the purpose of this
17 statement. I believe that chiropractic has become a
18 stakeholder in the politically dominate health system of
19 the United States. The NIH Office of Alternative
20 Medicine defines complementary and alternative medicine
21 as healing resources outside the politically dominant
22 system.

1 Why are Blue's plans offering these benefits at
2 this time? Well, there are three main reasons for
3 providing a discount network or contractual benefit for
4 CAM services. The first and most prevalent reason is to
5 respond to competitive pressures by visibly meeting
6 consumer demand.

7 Health plans may differentiate themselves in the
8 competitive market and appeal to different segments of
9 the population by offering these very popular programs.
10 A second reason is compliance with state-mandated
11 coverages. And a third reason for health plans to offer
12 a program is the potential for the use of these services
13 to theoretically reduce health care costs through
14 emphasis on health maintenance, leading to improved
15 health status and lower use of other services and/or
16 serving as an alternative to higher cost services.

17 CAM services typically have lower per-unit
18 charges than conventional biomedical services, but
19 existing data, however, suggests that these services are
20 often adjunctive rather than substitutive for
21 conventional care.

22 Health plans interested in offering these

1 programs must consider a number of important issues and
2 design options. The Association has made no
3 recommendations to Blue plans on providing benefits for
4 these services. These can be integrated into insured
5 benefits by being added to basic benefits or by being
6 offered as a separate rider with an additional premium.

7 Alternatively, improved access to these
8 services can be achieved a value-added program that does
9 not entail an additional premium. Value-added programs
10 may provide access to a credentialed network of CAM
11 providers at a discount. Members would self-refer and
12 pay for the discounted services out of pocket. This is
13 not news to you.

14 Integrating CAM benefits into existing insured
15 medical benefit coverage confronts several difficult
16 obstacles. Integration would require applying the same
17 contractual definitions and exclusions to complementary
18 and alternative medicine that apply to conventional
19 biomedical services.

20 In our litigious environment, contract
21 provisions and policy must be first clinically, then
22 legally defensible, and applied consistently. Health

1 plans use two contract provisions in adjudicating
2 benefits included within the benefit contract.

3 They determine first that the service in
4 question is investigational. If not, the health plan
5 would then determine the service to be medically
6 necessary that is appropriate for the patient's
7 conditions.

8 Blue Cross and Blue Shield plans typically
9 define investigational services as those that have not
10 been shown through clinical evidence to improve health
11 outcomes when compared to alternatives.

12 Health plans have made great strides in
13 applying evidence-based standards to coverage
14 determinations. Health plans try to make decisions based
15 on scientific evidence or, if necessary, on well-
16 documented expert consensus, which is generally based on
17 soon-to-be-published studies or published studies with
18 small numbers of patients, but not by anecdotal evidence.

19 My written statement refers to the TEC criteria
20 used by some plans to define investigational. TEC being
21 the Technology Evaluation Center, which is housed in the
22 Blue Cross and Blue Shield Association building.

1 Can CAM services meet investigational and
2 medical necessity criteria? Most complementary and
3 alternative therapies would fail an evidence-based
4 definition of non-investigational. The effectiveness of
5 most services at improving health outcomes has not been
6 evaluated in scientifically valid clinical trials.

7 Clinical trials for most CAM therapies would be
8 difficult to validate because therapies are often
9 individualized to subjective complaints. Elimination of
10 bias from protocols and outcome measures could be
11 difficult, if not impossible, due to the customization of
12 therapy and the subjectivity of results.

13 At this time adequate, non-anecdotal outcomes
14 data for many CAM therapies are unavailable. Such
15 evidence would be welcomed by those who would
16 consistently apply them in adjudication processes.

17 The next step in the benefits adjudication
18 process evaluates the medical necessity and
19 appropriateness of the service for an individual
20 patient's condition. To make medical assessment and
21 determinations, health plans refer to criteria that
22 delineate indications for particular services and

1 modalities.

2 These criteria may be developed by professional
3 organizations, government bodies, such as NIH or AHRQ,
4 academic centers, or even consulting organizations, but
5 clinical evidence, expert consensus, and utilization data
6 are the foundations of medical necessity criteria.

7 Developing patient selection and
8 appropriateness criteria for CAM services may prove
9 difficult due to the disparate philosophies of such
10 disciplines that are involved in CAM therapy and the
11 disparity of practitioners and to whether specific
12 categories of services will be intended for preventive,
13 which is often included in contracts, or for the
14 treatment of diagnosis and specific conditions or
15 disorders.

16 If health plans opt to integrate these services
17 into basic health care benefits, they may need to employ
18 different standards for medical necessity determinations
19 than they employ for traditional biomedical services,
20 undermining the plan's coverage adjudication process.
21 For example, the program completed 17 different
22 independent clinical effectiveness analyses of positron

1 emission tomography, or PET scans, since 1989, involving
2 central nervous system cancers and various cardiac
3 indications. Based on those analyses, only seven
4 indications met the criteria.

5 To conduct a comparable analysis of indications
6 for acupuncture, for example, it would be necessary to
7 evaluate the location and number of each needle and
8 degree of patient-reported pain relief for each
9 placement. The issues, remember, for a health plan will
10 always be how many do we pay for and for how long and for
11 each condition. This analysis for multiple different
12 massage therapy modalities would be even more difficult.

13 Reliance on a separate rider with a separate
14 premium component could eliminate this potential
15 conflict. A separate rider could specify a designated
16 number of visits, an annual dollar limit, and/or a
17 selected provider network.

18 Separate riders that will carry a separate
19 premium are also much easier to price, since the number
20 of visits, et cetera, are controlled by the definition.
21 Health plans currently lack the data on the local use of
22 such providers and how these services either substitute

1 or add to traditional types of care.

2 Discount networks do not require benefit
3 adjudication, as well, and I think you will understand
4 how they work.

5 I would also like, parenthetically, to address
6 one further issue before concluding my remarks, and that
7 is coding. Coding poses a potential issue for
8 reimbursement of these services. Under HIPAA, only
9 nationally-recognized code sets may be submitted and
10 processed electronically.

11 CPT codes for medical and surgical services are
12 one of the recognized code sets for HIPAA. Certain CAM
13 services, specifically acupuncture and massage, do have
14 CPT codes. It is unclear, however, whether CPT would
15 recognize additional CAM services for code development.
16 Under HIPAA, unlike the past, health plans can no longer
17 develop local codes for identifying and reimbursing such
18 services. The coding issue for CAM service requires
19 further analysis.

20 To conclude, I would like to say that health
21 plans are exploring these programs due to strong member
22 interest, including in the basic benefits may undermine

1 health plan evidence-based kinds of adjudication
2 processes.

3 More research is urgently needed to evaluate
4 the effectiveness of these services in improving health
5 outcomes. It will also be important to study how CAM
6 care relates and supports conventional biomedical
7 services. Can CAM substitute appropriately for some
8 biomedical services or will they always be additive?

9 The impact of CAM services on improving health
10 and moderating health care costs must be studied and
11 proven to be of value based on clinical evidence. Such
12 studies must use metrics that will withstand the legal
13 and professional scrutiny to which plans are subject
14 prior to their inclusion of CAM in actuarial-driven
15 health care products.

16 Thank you for your attention.

17 **Panel Discussion**

18 DR. GORDON: Thank you very much. Thank you
19 all three. That gives us a very nice overview.

20 Questions from the Commissioners? George?

21 MR. DEVRIES: Dr. Korn, thank you very much for
22 your overview of how Blue Cross Blue Shield is

1 approaching CAM benefits.

2 In particular, you described the possibility of
3 supplemental benefit riders, and in particular, I want to
4 touch on one issue related to a Blue Cross Blue Shield
5 plan covering CAM as a benefit, even as a supplemental
6 benefit rider.

7 Really, it is the issue of licensure of
8 providers, in that the access to providers is critical
9 and yet we have, as we have also heard from American
10 Association of Health Plans and from other organizations
11 presenting this morning, that there is a disparity, shall
12 we say, in licensure in states across the country. In
13 particular, you take something like acupuncture where it
14 may not be licensed in all states, or naturopathy, for
15 example, where doctors of naturopathy are licensed in
16 only 11 states.

17 I guess my question would be, what would be the
18 Blue Cross Blue Shield Association's position on covering
19 as a benefit, CAM as a benefit, supplemental benefit
20 rider working with covering services from licensed
21 providers versus non-licensed providers in the importance
22 that those providers have a licensing statute in that

1 state and are able to be licensed.

2 DR. KORN: Thank you. That is an outstanding
3 question. I deferred discussion of licensure to my
4 colleague, because we had to talk fast to get our little
5 piece in.

6 First of all, the Association, we independently
7 license 45 plan, who structure their own benefit plans
8 within their individual markets. We don't disseminate a
9 model.

10 I would venture a guess, however, that given
11 the legal environment in which health plans exist today,
12 the risk of sanctioning a group of providers that aren't
13 licensed would be very high, because any harm would
14 obviously be focused right on the health plan for
15 steering or directing a patient to a preferred provider
16 of services that we really have no metric to measure
17 either competence or outcomes.

18 So although that decision will be made 45
19 times, I think 45 legal departments, having reviewed it,
20 would probably be very cautious about offering unlicensed
21 networks to members on any preferred basis.

22 MR. DEVRIES: Thank you.

1 DR. GORDON: Other questions or comments?

2 Charlotte, go ahead.

3 MS. KERR: I didn't quite say yes, but there
4 was something I wanted to say in my eyes.

5 Dr. Korn, I thought all the presentations were
6 excellent. I wanted to say there were particular
7 insights into your presentation I was curious about.

8 One, in terms of the trials for CAM therapies,
9 that the traditional research models may not apply, you
10 also seemed to identify this whole difficulty on a
11 conceptual level of the biomedical model and
12 complementary and alternative therapies. How is it that
13 the Blues kind of have evolved to this knowing? Have you
14 had input on your advisory levels and decision-making
15 levels by complementary and alternative therapy
16 practitioners?

17 DR. KORN: We do. We have discussions
18 regularly with both state and national organizations
19 about such therapies. Our issue isn't whether there is a
20 demand or even fairly good word of mouth on some of
21 these.

22 We are in a spotlight. We are in many

1 spotlights. We are in, obviously, the media spotlight,
2 physicians obviously are very interested in what we are
3 doing, and non-physicians as well, as are trial lawyers.

4 So the one thing we have to do is maintain a
5 set of business practices which are consistent,
6 defensible, and quite open, and increasingly we are doing
7 that. It is a challenge.

8 I think the creative responses are to say,
9 well, we would offer the chiro here, we won't warrant
10 that we know much about some of these individuals, but
11 for a set premium there is this set of services that are
12 reimbursed that we will not warrant that there is
13 anything that we can judge as being better or less good
14 than something else.

15 And so, I think it is a very creative way of
16 dealing with it, given the tough environment we are in,
17 or all together these value-added networks where
18 discounts are offered and it is out-of-pocket. The
19 evidence is what we really, really want, peer-reviewed
20 evidence that stands up to the kind of scrutiny that we
21 are held to all the time.

22 That sort of cuts both ways. Just a bit of

1 anecdote here. You are all aware of the very terrible
2 problems we face here in this country with respect to
3 autologous bone marrow transplantation for victims of
4 breast cancer, over a decade. Without the evidence, we
5 eventually began paying the claims for all of the reasons
6 that I am sure you understand and appreciate. Having
7 done so, we are now being sued by patients who thought we
8 should have known better and not allowed them to have
9 unproven treatment.

10 So, given the environment, we have got to be
11 extraordinarily cautious about all such matters. My plea
12 is, show me the evidence, please.

13 DR. GORDON: I have a follow-up question to
14 that. The example about acupuncture having to justify
15 each point as an analogy to using scans, diagnostic
16 techniques, it seems to me that one could also just
17 simply do outcome studies in which the choice of points
18 was optional. It is really the method that is being
19 used, rather than the specific points. Does that make
20 sense?

21 DR. KORN: Yes. Yes, it would. As we get into
22 the details, we would want to be certain that unlike

1 certain other disciplines, there is not a fee associated
2 with each needle.

3 DR. GORDON: There is not, what, I'm sorry?

4 DR. KORN: There is not a fee associated with
5 each needle, because other disciplines have done that.

6 DR. GORDON: Are some people charging a fee for
7 each needle?

8 DR. KORN: Well, not necessarily the needle,
9 but people bill very creatively, and that is a question
10 we would absolutely have to deal with before very long.

11 DR. GORDON: I think what you are saying,
12 though, is that outcome studies are regarded as good
13 evidence by you.

14 DR. KORN: Yes, that's right. If we don't, in
15 every circumstance, have an ideal randomized, clinical
16 trial, good observational studies are a pretty good
17 substitute, if we can get them well done.

18 DR. GORDON: I had a more general question, and
19 maybe all three of you might respond to it. This relates
20 to one of the perspectives of complementary and
21 alternative medicine.

22 Many of these traditional systems of healing

1 that we are talking about are very much focused on health
2 promotion as well as disease prevention. I am wondering
3 what you are thinking about in the health plans or at the
4 state level for including this kind of approach, which
5 potentially has tremendous cost savings. Some of them
6 have already been demonstrated in some papers, Dr. David
7 Sobel's work, for example, on mind-body therapies and
8 cost savings.

9 I am wondering where you are in considering
10 some of these, really, more global or more holistic
11 approaches for coverage and consideration?

12 MS. WILSON: That is hard to say, because I
13 think that in each state exactly how a legislature comes
14 to address any given therapy varies. Sometimes it is
15 because the school that certifies or educates that
16 particular provider is located in that state. Sometimes
17 it is because a key member of the legislature has availed
18 him- or herself of the treatment that they have found
19 effective, and it was not reimbursed under their plan.

20 So there could be any number of reasons why a
21 legislature considers a treatment or a provider.
22 Sometimes it is because the providers are organized in a

1 way that they have made an effective presentation to the
2 legislature and, on a demonstration basis or operating
3 under the supervision of an already licensed
4 practitioner, get an opportunity to show whether or not
5 their therapy is effective or not. So it is kind of hard
6 to say.

7 In general, legislatures are very interested in
8 effective treatment. One example I can give is
9 acupuncture for detoxification for chemical dependency.
10 This is an area that seems to have a fairly high degree
11 of interest in the state legislatures because of the lack
12 of effectiveness, or certainly the challenges that have
13 been found in other treatment modes for chemical
14 dependency. So, in some respects, it is, well, these
15 other things haven't worked, this seems to have worked in
16 some cases, let's give it a try. So I think it is
17 eclectic, and it really depends, on a state-by-state
18 basis, what is going on in that state and how it comes to
19 the legislature.

20 MS. FRANCIS: Yes. I think that from health
21 plan to health plan it would vary on how it may be
22 incorporated into their benefit packages.

1 Health plans, managed care, one of their basic
2 themes is prevention and health promotion, but yet we
3 still, as I said before, looking at the evidence and
4 looking at qualified providers, some health plans do
5 offer at discounts wellness and prevention therapies such
6 as yoga and membership to health clubs, stress and
7 relaxation, those types of therapies that do help with
8 prevention and health promotion. But it would vary from
9 plan to plan.

10 DR. GORDON: I'm sorry, it is very what?

11 MS. FRANCIS: I said it would vary from plan to
12 plan and state to state, though.

13 DR. KORN: It is a complicated world, Mr.
14 Chairman.

15 I would agree with Merilyn with respect to
16 large health plans, large employers, and HMOs. And 18
17 percent of our 80 million are enrolled in HMOs. Half of
18 our enrolled lives at Blue Cross and Blue Shield are
19 small businesses, beauty shops, print stores, mom-and-pop
20 operations, and individual families. And to make health
21 insurance affordable, they often pick and choose
22 benefits, including only those that protect their members

1 from catastrophic events.

2 Generally, the kinds of things that we would
3 call lifestyle or wellness are thought to be individual
4 responsibilities, not collective responsibilities. That
5 is an important market. The risk of putting too much
6 into the benefit plan is that we make them uninsured.
7 That is the Blues. That is a good part of what we still
8 do.

9 The other issue in some large accounts is, even
10 the with double-digit prescription drug costs, every
11 emerging new technology, they have to draw the line
12 somewhere. Nobody would argue that these kinds of
13 services are important. We all ought to. I guess the
14 argument has to be is it something that we want our
15 friends and neighbors to pay for through premiums or is
16 it something that we should assume is personal
17 responsibility.

18 The easy answer is let someone else pay. The
19 truth is probably somewhere in the middle. So, although
20 I would agree that these are important considerations and
21 down the road may be associated with long-term savings,
22 the insurance market is an annual by decision that we

1 have to live in year in and year out, and we are faced
2 with a great number of upward cost pressures right now
3 that you are all aware of, reading the newspapers, et
4 cetera. So it is a very complex world.

5 DR. GORDON: Thank you. Joe?

6 MR. PIZZORNO: Dr. Korn, you made a very
7 provocative statement that I would like you to address
8 further. You said you do not consider chiropractic part
9 of CAM anymore; that is part of the dominant health care
10 system at this point. Why do you think that and what was
11 the transition that chiropractic went through?

12 DR. KORN: The chiropractors have gone through
13 a very painful transition and one that I would wish none
14 of you would have to do. It is obviously a very complex
15 topic that I will try to summarize at a very, very high
16 level.

17 What we have observed over the past many years,
18 four or five really, and we have really actually come to
19 understand quite clearly through some direct discussions
20 with the American Chiropractic Association, is the
21 profession has evolved in a way that we find to be quite
22 intriguing.

1 They have now adopted in large measure a
2 collaborative model of care, in which what the
3 chiropractic community offers is merged with what the
4 allopathic and osteopathic communities offer. And you
5 have a unique opportunity we see here in blending all of
6 the science often rendered by physicians, who sort of
7 stand behind a medical record, it gets between them and
8 the patient, and another body of physicians, and that is
9 how chiropractors are defined in most states, who really
10 are very expert in hands-on care, nutritional counseling,
11 lifestyle things.

12 What we have observed, the data would suggest
13 that with that kind of an approach, patients are far more
14 likely to change their behavior, to not smoke, to lose
15 weight, to exercise, to eat properly than being told to
16 do so by someone sitting on the other side of the desk,
17 writing a progress note in a allopathic office.

18 So, we could talk a great deal more about it,
19 but we have become impressed with the contribution that
20 that model of care has in the global picture. Again,
21 that is overlaid on a long history and many other things,
22 but that is why, at this point, it probably makes sense

1 to put them outside of the CAM circle.

2 DR. GORDON: Okay. I have Wayne, George
3 Bernier, Bill, and Tom.

4 DR. JONAS: I would like to ask you all to
5 reflect on what impact the current IOM report on crossing
6 the quality chasm, that I think at least two of you
7 mentioned, is going to have, if any, on health care
8 benefits, payment, associations, et cetera.

9 What I heard you just describe, Dr. Korn, is
10 that chiropractors appear to have developed a
11 relationship with their patients that is more
12 motivational, perhaps more continuous, customizes the
13 care, perhaps more individually than what we currently
14 have, and puts the individual kind of in control of the
15 situation. Of the 10 rules that IOM listed, the first
16 three, and I assume these are in some kind of priority
17 order, is that care is based on a continuous healing
18 relationship, not just office visits; care is customized
19 and individualized according to patient needs and values;
20 and the patient is the source of the control. I think
21 evidence-based medicine is number five on that issue.

22 I couldn't think of any better first three

1 principles in terms of what I would like to see in health
2 care, and I'm wondering if many complementary
3 practitioners aren't, in their own little world,
4 providing these kinds of characteristics, and part of the
5 public response is that they like that better and they
6 are willing to pay for it and do it even outside the
7 health care system?

8 How is this going to impact on our current
9 system and reimbursement? Are any of these principles
10 going to be able to be implemented? Is payment going to
11 be able to be aligned with some of these principles in
12 some way? Will it require restructuring of how we think
13 of health and disease?

14 The first one, is about healing relationships.
15 That is the first time I have ever heard that, really, as
16 the top priority. I am just wondering if any of you
17 could reflect on if and how this might impact the
18 reimbursement payment system?

19 MS. FRANCIS: Well, I think it is probably a
20 little too early to tell in some ways how it is going to
21 impact, but the six aims that the IOM report does put
22 forth as far as how to increase the quality of the health

1 care system and to redesign health processes throughout
2 the health care system have to be taken together and not
3 necessarily in isolation.

4 Each of those principles on their own are
5 important, but along with the healing relationships and
6 the patient-centered care is the issue of safety and
7 evidence-based and access and things like that.

8 I think that as the entire health care system
9 moves towards having better relationships, having more
10 coordination of care, really looking at the evidence of
11 care, then what may happen and should happen is some care
12 that is not as optimal as it should be may be retooled
13 and made more effective. But as far as reimbursement, I
14 think that is probably far down on the list right now,
15 only because there is some of these other macro issues
16 that need to be dealt with and worked on beforehand.

17 DR. GORDON: Go on.

18 DR. KORN: Healing relationships are probably
19 the most important factor in patient compliance and
20 ultimate success, once you get beyond the biochemistry
21 and the drug or whatever.

22 Now, once a health plan establishes a network,

1 and of course the Blues contract with 90 percent of
2 doctors in the country, they are stable. Occasionally
3 doctors die, new doctors come into the system, and
4 occasionally they are drummed out for one reason or
5 another, but they are very stable networks. Why then
6 don't we have healing relationships?

7 The average turnover in a health plan is 19
8 percent a year. Every January that happens, so that your
9 ability to stay with a patient longer than three or four
10 years is very low. Why? Price. People shop on price
11 annually.

12 So, we have a very difficult problem now in
13 maintaining healing relationships in a competitive
14 business environment.

15 Now, in terms of reimbursement, I think there
16 is an opportunity to really differentially reimburse for
17 outstanding outcomes, but a health plan can't do that all
18 by itself. If you were to tell me as an accreditor or a
19 purchaser that I want you to figure out who your best
20 doctors are, what am I going to do? I'm going to create
21 a bell-shaped curve, aren't I? And I will reward the two
22 standard deviations way over on the far right side of the

1 curve and maybe ding the people on the left a little bit,
2 but for 94 percent of the doctors in my network, nothing
3 will have changed.

4 So, to really find quality and reward it
5 meaningfully, we are reaching out, for example, to the
6 American Academy of Family Physicians and saying, you
7 define quality, make it meaningful, and when you find it,
8 do whatever you can using peer pressure to get everybody
9 into that top quartile, let's move that curve over. When
10 we do that, we think the quality will be there, and when
11 we do enhance reimbursement for those who do those things
12 that count there will be some assurance that the payback
13 will be rather short-term rather than long, because we
14 only have two or three years to make it back. That
15 patient is going to change health plans.

16 So, we have a lot to do. Quality isn't
17 something that health plans can do to a doctor or a
18 patient. We have been living in a world in which that
19 was the operative assumption, whether it is an accreditor
20 or an employer. Quality and good outcomes are something
21 that health plans have to do with doctors, and that means
22 we need to re-earn your trust and we need to get to work.

1 The Blue Cross and Blue Shield Association has been
2 reaching out for the past two years, internists,
3 pediatricians, family doctors, and even the AMA, which
4 tomorrow, with us, will announce a major joint initiative
5 after a year and a half of discussion. We will do it
6 together.

7 MS. WILSON: I just wanted to say that in terms
8 of the impact of the IOM study on state legislation, it
9 doesn't really happen like that too often. Usually they
10 are going to hear from the insurers and they are going to
11 hear from those who want coverage perhaps, or those
12 providers who want to be covered.

13 The IOM study may be entered into public
14 hearing at some point by one of those parties or another,
15 but the IOM study in and of itself doesn't drive state
16 legislation, and it really depends on how it would be
17 presented at that public hearing and how those
18 legislators receive that information as to how much
19 impact it would have at that level.

20 DR. JONAS: Yes. I'm wondering if it will have
21 any impact at all. Its goals are so magnanimous, if you
22 will, and also it is targeting system change, as opposed

1 to what you just described in terms of working with
2 individual practitioners, which is a different matter.

3 DR. GORDON: George.

4 MR. BERNIER: I had wanted to ask Dr. Korn how
5 policy decisions get made in a collection of autonomous
6 Blue Cross partners, and then when I heard that there had
7 been a pretty dramatic change in that relationship
8 between those institutions and the chiropractic
9 enterprise, it sounds like maybe there are different
10 kinds of decision making that is being carried out at
11 various times.

12 Could you give us an idea?

13 DR. KORN: I will do it very briefly. At the
14 national level, we try to create in infrastructure to
15 ease both the human and financial burdens on all of our
16 plans. We have the Technology Evaluation Center, where
17 we centrally look at new and emerging technologies and
18 new indications for existing technologies.

19 We also have a Medical Policy Advisory Group.
20 Not everything on earth can undergo a six-month
21 assessment. So we will look at medical literature and,
22 in an advisory way, advise our plans on what medical

1 policy might be with respect to an issue or a technology
2 or a treatment or a procedure. They are not binding, but
3 the plans typically don't have the resources to do a lot
4 of additional research.

5 So the compliance rule that we like to use
6 within the Blue system is the 90/90/90 rule, that 90
7 percent of the plans will probably use 90 percent of the
8 policies 90 percent of the time, and 70 percent is not
9 too bad. We do have a number of national accounts, as
10 well. So there is the expectation that we will be
11 consistent.

12 On the other hand, we are firmly rooted in
13 local communities. The arrangement that the Texas plan
14 might make with the M.V. Anderson Hospital, for example,
15 would be unique and might not be applicable in Wyoming or
16 in Maine.

17 So there is autonomy and independence and,
18 again, as I said early in my statement, our plans have
19 the ability to deal with local medical need and market
20 conditions in ways that are unique. We provide, on an
21 advisory basis, an infrastructure that we hope is a cost
22 savings to them.

1 DR. GORDON: Tom.

2 MR. CHAPPELL: I have a question for Dr. Korn
3 and for Merilyn Francis, please.

4 The issue we face is to recommend policy that
5 is integrative with our present system, complementary,
6 separate, and both of you have described the burden that
7 we need to achieve on creating better case for the
8 evidence of efficacy, safety and efficacy of CAM services
9 in your reports.

10 However, I still would like to know whether you
11 recommend we pursue integrative partnerships with the
12 Blues and the health care plans, which could be through
13 providing menus of different products to groups, or
14 whether you encourage use to accomplish the needs of the
15 consumers through separate routes?

16 MS. FRANCIS: When you say a separate route,
17 you mean totally not integrated at all with --

18 MR. CHAPPELL: Well, when I say separate,
19 outside the health plans that your association has for
20 state-of-the-art systems and requirements for evidence-
21 based credentialing and standards and practice?

22 MS. FRANCIS: I think that it depends on your

1 goals. For integrating into health plans or integrating
2 into the allopathic health care system, I think there is
3 value in pursuing looking at ways that it can be
4 integrated into the system and working with health plans
5 to see what extent is possible and appropriate for
6 integrating.

7 It may be, like many other things, that it is a
8 combination of the two. It doesn't necessarily have to
9 be all or none, because, as we have seen as the evidence
10 comes in, certain therapies have integrated pretty well
11 into health plan philosophy and their delivery mechanism.

12 So, I think that there is partnerships. I
13 think that the more information exchanged the better, so
14 that people can make good decisions.

15 DR. KORN: I guess the answer has to be a
16 little of each. Where you have pretty good evidence and
17 a group of physicians, providers, or other experts who
18 can be credentialed in some way, okay, integrated. There
19 is a demand for it, and people are willing to pay the
20 premium hit that they would have for it, absolutely.

21 And where there isn't and there is still a
22 desire for it, then you have these two options, either a

1 rider or a value-added network, and I would pursue them.
2 That is the quick answer.

3 The harder answer is distinguishing together
4 through the research that you can help us do, between
5 what people truly need, what can be considered medically
6 necessary and therefore ought to be paid for by their
7 friends and neighbors, and what they simply want. That
8 is one of the core issues that is tearing us apart today
9 as citizens, and insurance happens to be the focus.

10 I think each and every one of us believes that
11 our major medical policy entitles us to all the care that
12 we want, when in fact it is financed based on an
13 actuarial model that tries to anticipate all the care
14 that we need. Help us, help us, please, distinguish
15 between those through the data that you will produce.

16 Once we can establish medical necessity -- that
17 is a bad word now. It has been beaten up, and everyone
18 hates it, but in fact, what you need is different from
19 what you want. What you need, other people pay for; what
20 you want, you pay for. Help us figure that out. When
21 you do, it becomes integrated, but it is a journey. We
22 will take it together.

1 DR. GORDON: We are actually out of time right
2 now. We have a couple of people who haven't asked
3 questions. We have the option of cutting down on our
4 lunch time or letting things go here.

5 A burning question that needs to be asked at
6 this moment?

7 [No response.]

8 DR. GORDON: Okay. Thank you all very much.
9 We hope to continue to be in touch with you and ask for
10 your feedback. Thank you.

11 [Applause.]

12 DR. GORDON: We are going to take a one-hour
13 break and we will come back promptly at 1:30.

14 [Lunch recess taken at 12:30 p.m.]

15 + + +

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

[1:30 p.m.]

Panel Session IV: Employer Perspectives

DR. GORDON: We will begin with Panel IV, Employer Perspectives. The first speaker will be Tom Sawyer.

Presenter: Tom Sawyer

MR. SAWYER: Thank you, Mr. Chairman and Commissioners. On behalf of William M. Mercer, a global human resource consulting firm, I am please that you invited us to participate and offer some comments today.

The first point I would like to make is that the interest of employers -- and I want to take some liberties with the term "employers," if I may, to also use the term "plan sponsors," since we do have plan sponsors that are not employers, such as the Taft-Hartley Trust and so forth. I think they might take umbrage if we didn't at least make that mention, that I am really discussing this from the standpoint of plan sponsors.

The interest of plan sponsors in alternative and complementary medicine have, in large part, paralleled but lagged behind the interests of consumers

1 generally. I think this situation is the result of
2 concerns regarding the cost of health care, safety and
3 efficacy of these types of services, potential liability,
4 and lack of involvement in medical issues. Plan sponsors
5 generally would prefer to leave medical issues to the
6 experts and not get directly involved in general terms.

7 For these reasons, most CAM therapies are paid
8 for by the consumer out of pocket today. Most plan
9 sponsors, although they utilize these services, the
10 consumers that press upon them the need to purchase and
11 add these benefits has been a major reason that employers
12 or plan sponsors have added these benefits.

13 In looking at plan sponsors, you usually see
14 six types of models that are currently being used in the
15 field of benefits. One type of model is an informational
16 model, where the member receives telephonic or Internet
17 information about CAM issues. A second type of model is
18 the CAM discount programs that you have heard other
19 speakers refer to, where the member receives a pre-
20 negotiated discount from a panel of pre-screened
21 providers.

22 A third type of plan model is the CAM benefit

1 account, where a member is given a fixed annual maximum
2 for certain CAM expenses and usually requires a co-pay.
3 A fourth type of model is the CAM indemnity, or PPO,
4 coverage model, where the plan member accesses those CAM
5 services that are covered under the plan and within plan
6 limits.

7 Another type of model is the CAM rider, which
8 you have heard referred to today, where members access
9 services under an HMO provided plan that also manages
10 utilization and limits the number and kind of conditions
11 that are covered.

12 Last, but not least, you occasionally will see
13 employers offering on-site CAM services where employees
14 may access certain services on-site, such as massage
15 therapy, at discounted prices.

16 Primarily because employee demand for such
17 services exists, plan sponsors have increasingly
18 implemented CAM programs into their sponsored PPOs, POS
19 plans, HMOs, and indemnity plans. The main factor in the
20 survey data shows, through a survey done by the
21 International Society of Certified Employee Benefit
22 Specialists, indicated that the primary reason to

1 implement CAM programs was employee related. Thirty-
2 seven percent of respondents indicated that the main
3 reasons for this have to do with the fact that they
4 desire to please their members and also to give them a
5 competitive edge in attracting and retaining employees.

6 Twenty-three percent of respondents in this
7 same survey gave a reason related to the potential for
8 improved health or medical care. Thirteen percent stated
9 the need for state-mandated compliance as the primary
10 reason to implement CAM benefits. Another 27 percent did
11 not answer the question.

12 Since 1998, the firm that I am with, Mercer,
13 has surveyed employees inclusion of CAM benefits through
14 its benchmark Mercer Foster Higgins National survey of
15 employer-sponsored health plans. I have included in your
16 documents, I think, a number of things that you might
17 want to refer to as we talk about what these survey data
18 shows with respect to the results of the 2000 survey by
19 therapeutic modality, region, industry, size of employer,
20 and type of health plan.

21 My first comment is directed at coverage by
22 modality. The results of the 2000 survey continue to

1 show the same trend that chiropractic care is by far the
2 most frequently covered alternative therapy. We have
3 heard comments today that that may or may not fit your
4 definition. In our survey data it does fall within the
5 definition that we used in our benchmark survey.

6 Eight-four percent of PPOs and indemnity plans
7 currently cover this therapy, a slightly higher percent
8 than the previous year. Among HMO plans, 66 percent of
9 employers offered chiropractic care. Of the five
10 modalities that we surveyed, biofeedback was least often
11 covered. As compared to the 1998 survey, the percent of
12 employers offering these modalities has increased
13 somewhat from 3 percent for massage therapy to 21 percent
14 increase for chiropractic, regardless of the type of
15 health plan.

16 In looking at coverages by region, we do see
17 some regional differences for coverage of the modalities
18 that can be noted. Generally, employers in the northeast
19 and west more frequently offered coverages for CAM
20 therapies, regardless of type of plan offered. One
21 notable exception is the less frequent coverage of
22 chiropractic care in the west for PPO and HMO plans.

1 In terms of coverages by industry, we found
2 that employers from the government, financial services,
3 service and health care sectors are generally more likely
4 to offer CAM benefit regardless of type of plan.

5 As a modality, homeopathy coverage is least
6 consistently offered across industry sectors. The
7 retail, manufacturing, and transportation, communication,
8 utility sectors are least likely to offer CAM benefits.

9 Size of employer. Regardless of health plan
10 type, employers with 20,000 or more employees were likely
11 to offer coverages for acupressure, acupuncture,
12 biofeedback, and chiropractic, while coverage for
13 homeopathy and massage therapy were more inconsistently
14 covered. Employers with fewer than 20,000 employees were
15 more inconsistent in their coverage patterns for these
16 types of therapies.

17 Coverage by type of health plan. Acupressure,
18 acupuncture, biofeedback, and chiropractic were all more
19 likely to be offered in a PPO or indemnity plan than an
20 HMO plan. POS plans closely parallel PPO plans in
21 patterns of coverage across all modalities. The most
22 significant differences in frequency of coverage occurred

1 with chiropractic care, where 84 percent of employers
2 offering PPO or indemnity plans offered this modality,
3 while only 66 percent of HMO plans did.

4 Employer-sponsored HMOs offered no CAM benefits
5 31 percent of the time, compared with 15 percent of PPO
6 and indemnity plans not offering CAM benefits.

7 Let's talk about CAM program costs. Costs for
8 CAM programs are low to modest and vary with the type of
9 program offered. Information only, discount, and on-site
10 programs usually are less than \$1 per employee per month.

11 On the other hand, offering broad CAM benefits
12 can cost \$10 to \$20 per month per employee, depending
13 upon plan design, location, employee demographics,
14 provider contracting, utilization management, and other
15 factors. Most program costs fall between one and \$10 per
16 employee per month. Savings from traditional medical
17 claims reduced disability costs and decreased absenteeism
18 and turnover may offset some of these costs. However, it
19 is rare to find well-done evaluation research of this
20 type in actual practice.

21 Issues with CAM programs that employers face.
22 Employers are faced with several issues when considering

1 CAM programs for their employees. First and foremost is
2 the management of financial risk.

3 Second, geographic variation and provider
4 licensure, and availability, and requirements that are
5 different from state to state also present challenges.

6 Third, there are some tax and compliance issues
7 regarding Section 213 of the Internal Revenue Code. And
8 last, but not least, is certainly the challenge of how to
9 measure the program's effectiveness.

10 The challenges for the future for the employer
11 include the ability to establish safe, clinically
12 effective treatments that are accessible. Employers are
13 not scientists or providers. As payers they look to the
14 scientific and health delivery community to bring safe,
15 cost-effective treatments to the market, while seeking to
16 integrate them into their rightful place in the spectrum
17 of health care services.

18 Thank you for your time, and I will be happy to
19 take questions at the appropriate time.

20 DR. GORDON: Thank you very much.

21 Kathleen King.

22 **Presenter: Kathleen King**

1 MS. KING: Thank you, members of the
2 Commission. It is a real thrill to be here with you this
3 afternoon. I submitted a longer statement for the
4 record, but I am going to sum up my testimony here.

5 I am here today on behalf of the Washington
6 Business Group on Health, which is a non-profit
7 association comprised of about 165 major, national, and
8 multi-national employers. The mission of the Business
9 Group is to improve the health and productivity of
10 companies and communities.

11 The Business Group has a long reputation. It
12 has been around more than 25 years of really being
13 leading edge in its thinking about health benefits in the
14 workplace.

15 So I want to start off by saying today that
16 employers, especially the members of the Business Group,
17 have a real stake in the health of their workforces,
18 because a healthy workforce is more productive, it is
19 sick less often, has lower workers compensation costs and
20 lower medical costs overall.

21 Anything that keeps a workforce healthy and
22 continuing to produce is something that the employer

1 world is in favor of and really supports, and will take a
2 lot of different approaches in terms of thinking about
3 whether things work. That is the premise that employers
4 bring to this, does it keep our workforces healthier. So
5 that is where they come from.

6 The other thing is, I think that employers are
7 interested in CAM treatments for several reasons. The
8 first one, is because consumers today, employees, are
9 much better informed and want to take charge of their own
10 health care. So they bring something to the table in
11 terms of looking at employee benefits.

12 The second, is that the health care community
13 overall values prevention and wellness, now more than
14 before, and that is another factor that contributes.

15 The third thing, is that today's workforce is
16 multicultural and multiracial and has a different set of
17 ideas concerning CAM treatments than the workforce of a
18 generation ago. So that really has an effect on
19 employers.

20 Employers recognize that they can satisfy some
21 of the demands that employees bring for CAM benefits and
22 that, in most cases, the cost for CAM treatments are

1 relatively low cost when compared to other traditional
2 medical treatments.

3 In terms of thinking about how consumers are
4 move involved in their health care, we found that
5 especially with the rise of the Internet that many
6 employees are taking a much more active interest in their
7 health care and they want to get more involved in it and
8 they want to participate more in decision making.

9 A recent survey by "Modern Healthcare" has
10 found that about 69 percent of respondents said that they
11 wanted health insurance to cover CAM treatments. About
12 77 percent said they wanted more research devoted to
13 alternative medicine. About half, or 49 percent, and
14 this is not necessarily the same sample here, reported
15 that their health plan covered some type of complementary
16 or alternative medicine treatment.

17 Another change in the health care community is
18 a trend toward more holistic approaches to health. I
19 don't have to tell you that CAM treatments are much more
20 holistic than many forms of traditional medicine. So
21 that is something also that employees bring to the table.

22 The third thing is that the workforce grows

1 increasingly diverse. As some of the early results from
2 the 2000 census indicate, the U.S. population is changing
3 much more rapidly than anyone had anticipated. In
4 addition to people from other cultures, such as people
5 from Eastern cultures, having a faith and practices in
6 things like acupuncture, acupressure, tai chi, those type
7 of treatments are becoming much more broadly disseminated
8 in the culture overall, and that is something that
9 employees bring to the table.

10 The second thing is that our workforce is
11 aging. The baby boom generation is increasingly
12 interested in things that prolong their health and
13 vitality. As we see the demographic bulge of the baby
14 boom, which peaks about 2020, I think that employers
15 expect to see much more interest in keeping people
16 employed longer and in a healthier state. So they are
17 much more receptive to that.

18 I think that CAM has become much more accepted
19 in the medical community. It also goes without saying, I
20 think, that employers are interested in more research
21 that shows both the clinical and the cost effectiveness
22 of complementary and alternative medicine treatments.

1 In my testimony, I have referred to a number of
2 anecdotes that we found of employers covering CAM
3 treatment, but I will leave you to read that.

4 With that, I will conclude my testimony. Thank
5 you.

6 DR. GORDON: Thank you.

7 Next will be Judith MacPherson.

8 **Presenter: Judith MacPherson**

9 MS. MACPHERSON: Good afternoon, ladies and
10 gentlemen. My name is Judy MacPherson. I'm a benefits
11 manager at one of the local companies here in the
12 Washington area, CACI, International, Incorporated,
13 commonly called CACI around the beltway.

14 We have got close to 5,000 employees. They are
15 a diverse workforce, because we do primarily work for the
16 federal government. We do work for the Justice
17 Department, as well as the Department of Defense. We
18 have sites at about 90 locations around the country and
19 overseas. So, providing a competitive package of health
20 and wellness benefits for these employees is a
21 challenging and increasingly costly undertaking.

22 What I would like to do is talk to you a little

1 bit about what it is like to provide employee benefits as
2 an employer.

3 Since at least the 1800s, employers in this
4 country have had a practical interest in the health of
5 their employees. Industries in remote areas used to
6 provide company doctors to care for their injured or
7 their sick workers. Some of these early employment-based
8 programs covered care for not only the workers, but their
9 families and their community, as well. I know, my
10 father-in-law actually was a doctor in Arizona for a
11 mining company in the '40s. So, it wasn't so long ago
12 that this was a norm.

13 These programs were often funded by deductions
14 from workers' wages. The concept of prepaid health care
15 began to spread with funding from foundations and
16 hospitals. That eventually led to the formation of Blue
17 Cross. World War II accelerated the growth of
18 employment-based benefits. However, it wasn't until 1954
19 when the IRS made it very clear that the employer cost of
20 providing health benefits was not taxable to the worker
21 but was still tax deductible to the employer as a
22 business expense that employer-provided health insurance

1 grew to become the most common source of health insurance
2 in the United States.

3 Over the past 50 years, the majority of working
4 Americans have looked to employers as the source of their
5 health insurance. In 1999, 66 percent of all Americans
6 were covered by employment-based health plans. What
7 started with manufacturing and grew with the unions, then
8 the Blue Cross system has become an expected entitlement
9 of traditional employment.

10 Employers who do not offer health benefits or
11 whose health benefits are not up to community standards
12 have greater difficulty attracting and retaining
13 employees. According to a recent survey, 65 percent of
14 workers responding rated employment-based health
15 insurance as their most important employee benefit.

16 As recently as at mid-80s, health insurance was
17 relatively cheap and easily managed, a benefit for
18 employers to provide to their employees. You made
19 remember, everybody had \$100 deductible and an 80/20
20 coinsurance.

21 Over the last 10 to 15 years, the industry has
22 changed, to the point that everyone now expects to pay

1 \$10 to go to the doctor and \$10 to fill a prescription.
2 Unfortunately, those expectations are becoming no more
3 realistic today than the \$100 deductible.

4 Managed care was touted as the salvation of an
5 increasingly costly system. The fact is that it was
6 simply a stop gap measure, which contained health care
7 costs for several years but reached a saturation point.

8 We are now at that point where most of the
9 savings that could be squeezed out the health care
10 delivery system have been. In addition, both patients
11 and providers have become frustrated and are demanding
12 more control over how health care dollars are spent.

13 As an employer, I hear the complaints daily.
14 They range from dissatisfaction with the managed care
15 that is being provided to them to requests for more
16 managed care. Truthfully, most employees want it all.
17 They want a choice of physicians, they don't want to pay
18 extra for it.

19 I have personally experienced doctors and
20 hospitals dropping out of networks across the country,
21 either because the insurer is paying them too little, too
22 slowly, there is no incentive because the area they are

1 in there is so little competition that there is no
2 incentive for these physicians to join a managed care
3 network. Some drop out because they don't like being
4 told what they can prescribe.

5 Personal experience, from the time I was asked
6 to participate in this panel, which was just a little
7 over a week ago, until today, one of the HMOs that I use
8 in the midwest has gone completely out of business, and
9 I'm right in the middle of an open enrollment. So, this
10 is true stuff from the employer's perspective.

11 Within the last several years, we have seen the
12 cost of providing health benefits begin climbing again.
13 This has been attributed, I'm sure you have heard, to
14 advances in technology, our aging population, the
15 appearance of hundreds of new drugs being marketed
16 directly to consumers.

17 Pharmacy benefit cost alone to employers has
18 risen 15 to 25 percent in the last three years. In a
19 recent survey of certified employee benefit specialists
20 conducted by Deloitte and Touche and the Society of
21 Certified Employee Benefit Specialists, the key objective
22 driving benefit policy and design is employee attraction

1 and retention. And the top objective for the year 2000
2 was to control health and welfare costs. This is the
3 first time in the survey's history that the same issue
4 has been identified as the top priority for two years in
5 a row.

6 These increases translate into higher costs for
7 the employee, as well as the employer. Businesses such
8 as ours, which have low profit margins, more of the cost
9 increases have to be shared with the employee. In order
10 to keep monthly premiums down, co-pays and deductibles
11 have to be raised.

12 When we go to the marketplace to obtain quotes
13 for the benefits we provide, we are finding fewer and
14 fewer options. As insurance providers have merged in
15 order to maintain their profit margins, competition among
16 carriers has nearly vanished for companies of our size
17 and complexity.

18 On the one hand, employers have to make a
19 profit. On the other, in order to attract and retain the
20 best employees in a tight job market, the employer must
21 offer a competitive and attractive benefits package.
22 Employees are demanding and getting more and more