

1 peripheral vascular disease, researchers injected either
2 EDTA or, as a control, saline alone. After treatment,
3 there were no differences between the EDTA group and the
4 control group in how long they could walk on a treadmill
5 before developing leg pain.

6 A recent Canadian study of 80 angina patients
7 reported this March at a meeting of the American College
8 of Cardiology found no differences between the EDTA and
9 control groups and how long they could walk on a
10 treadmill before developing ischemic changes on an
11 electrocardiogram.

12 But in all three studies, patients in both the
13 EDTA and control groups had modest improvements in how
14 long they could walk before they developed either leg
15 pain or angina, and I think these findings emphasize the
16 critical importance of including a control group in
17 studies of chelation or any other CAM or conventional
18 product or procedure.

19 In the Canadian study, at the end of one year
20 of follow up, there were no deaths and one heart attack
21 in the subjects in each group. Nine patients from the
22 EDTA group and 6 from the control group had to be

1 hospitalized for treatment of angina.

2 On questionnaires, patients in both groups
3 reported similar improvements in angina and a better
4 quality of life after they had been treated, and
5 chelation patients often will tell their doctors, and
6 indeed feel, that they have been improved by the
7 treatment, but I really suspect that anyone here, in
8 front of me or behind me in the audience, would tell
9 their doctors that they felt better after they had
10 undergone 30 intravenous, two-hour injections and about
11 \$5,000 out-of-pocket expenses.

12 Most distressing to me is a statement that was
13 made in response to this Canadian study by a past
14 president of the American College for the Advancement of
15 Medicine, who was quoted as follows: "I have been doing
16 it for 18 years and have seen some dramatic differences.
17 I have been really impressed with it." He also said that
18 75 percent of his patients respond and are able to walk
19 further and to have less chest pain.

20 His reaction raises in my mind the terrible
21 concern that CAM practitioners will not accept the
22 negative results of scientifically valid studies, and use

1 those results to alter their practices appropriately. If
2 that is true, then, properly conducted research by either
3 CAM investigators or government-supported investigators
4 at academic institutions will be a tremendous waste of
5 time and money.

6 Quite frankly, I cannot believe how any
7 practitioner who is truly interested in the welfare of
8 his or her patients, given the available evidence about
9 it, can continue to practice chelation therapy.

10 A second question we were asked to address is
11 how can valid research study the complex issues inherent
12 in CAM research. I would like to begin with a quote from
13 an editorial in JAMA a few years ago which stated,
14 "Advocates of alternative medicine argue that many
15 alternative therapies cannot be subjected to the standard
16 scientific methods, and thus, instead, must rely on
17 anecdotes, beliefs, theories, testimonials, and opinions
18 to support effectiveness and justify continue use."

19 Well, I disagree completely with the notion
20 that CAM therapies cannot be subjected to valid testing,
21 and I submit that the problems associated with CAM
22 research are inherently no different than those faced in

1 other areas of clinical research. What is needed is some
2 ingenuity, the design of carefully controlled studies,
3 and the willingness of investigators to roll up their
4 sleeves and go to work.

5 My recommendations to the Commission then.
6 First, CAM research requires large, well-controlled
7 studies which provide scientific evidence that either
8 support or rejects the value of all CAM products, such as
9 herbs and vitamins, as well as procedures like chelation
10 and therapeutic touch.

11 Here, I will echo what has already has been
12 said, and that is, that such studies on safety and
13 efficacy must be done before marketing and before
14 promotion of products, because it would be exorbitantly
15 expensive, indeed impossible, for government agencies to
16 fund such studies on every product or procedure that
17 imaginative and entrepreneurial people can think of to
18 sell to gullible members of the public.

19 I have heard, for example, that the NIH may
20 feel compelled to use, I might even say waste, taxpayer
21 money to fund yet another study on chelation therapy,
22 despite the lack of any evidence to support it and the

1 completion of three studies showing no benefit.

2 The health food industry is doing well and can
3 afford to carry out such studies before products and
4 procedures are promoted and marketed.

5 The failure to carry out such studies means
6 that the public will lose out on two scores. First, the
7 public will continue to waste money using ineffective and
8 possibly risky CAM products largely based on the
9 widespread misinformation in the media and on the
10 Internet.

11 Secondly, equally important, the public will
12 not have widespread access through conventional
13 physicians to products and practices that really are
14 effective.

15 My second recommendation to the Commission is
16 that you urge Congress to revise the Dietary Supplement
17 Act of 1994, so that the Food and Drug Administration has
18 some control over CAM products and procedures.

19 Finally, the Commission and CAM practitioners
20 must accept and act appropriately when the results of
21 sound studies prove that a CAM product or practice is
22 either ineffective or dangerous.

1 Thank you for your attention.

2 DR. GORDON: Thank you very much.

3 Arthur Grollman.

4 **Presenter: Arthur P. Grollman, M.D.**

5 DR. GROLLMAN: Thank you for inviting me to
6 appear before the Commission.

7 My background is as follows: I am a physician
8 trained in internal medicine at Johns Hopkins, I have
9 served on the faculty at the Albert Einstein College of
10 Medicine and the State University of New York at
11 Stonybrook.

12 As Chair of the Pharmacology Department for 25
13 years, I have been responsible for educational programs
14 in pharmacology for medical students, other health
15 professional students, and practicing physicians. I see
16 patients in the role of attending physician in medicine,
17 and I conduct NIH-supported cancer research in the area
18 known as molecular toxicology.

19 You should also know that I have conducted
20 research on the active principles of several herbal
21 medicines, and I have served as a consultant to the Dahli
22 Lama of Tibet regarding the use of Tibetan herbal

1 medicines.

2 Our panel was asked to discuss our concerns
3 regarding CAM and CAM research. I will focus on the
4 former, describing the dangers resulting from the
5 unregulated use of herbal medicines.

6 Many are convinced that this is the Achilles'
7 heel of alternative medicine. Many herbal medicines are
8 safe, others available in health food stores or
9 prescribed by herbalists may be harmful. A serious
10 safety problem is the widespread adulteration of Chinese
11 herbs with drugs and heavy metals, 40 percent in one
12 study by the State of California.

13 Since 1962, when the Harris-Kefauver Amendments
14 were enacted in response to the thalidomide tragedy, the
15 American public has taken FDA surveillance of
16 commercially available medicines for granted. Most are
17 unaware that since 1994, the Dietary Supplement Health
18 and Education Act, DSHEA, allows herbs to be marketed as
19 dietary supplements. Prior testing of dietary
20 supplements is not required by law, and there is no
21 premarketing safety approval by FDA.

22 Understandably, physicians, pharmacists,

1 researchers, and some leaders in the botanical industry
2 have called DSHEA "an accident waiting to happen."

3 Now, in your briefing document, I cite three
4 examples of serious toxic reactions associated with
5 herbal medicines. Additional reports will surely appear
6 before this Commission submits its report to President
7 Bush next spring.

8 Importantly, the incidents I will discuss would
9 not have occurred had the manufacturer been required to
10 provide proof of safety. I will briefly summarize these
11 events as a basis for the discussion, and then offer
12 suggestions as to how such public health tragedies could
13 be avoided in the future.

14 Aristolochia has been used in Europe and Asia
15 for 2,000 years. For five centuries, women in Great
16 Britain were given Aristolochia during childbirth, hence,
17 its common name birthwort. In China, the herb is used to
18 treat symptoms of arthritis.

19 A decade ago in Belgium, about 100 otherwise
20 healthy young women were reported to have developed
21 irreversible renal failure requiring dialysis or
22 transplantation. This group had one thing in common.

1 They had attended the same weight reduction clinic in
2 Brussels where they were given a cocktail containing the
3 usual drugs plus several Chinese herbs including
4 Aristolochia.

5 In addition to permanently losing kidney
6 function, half of these individuals subsequently
7 developed renal cancer. The syndrome, now known as a
8 Chinese herb nephropathy, has been reported in countries
9 throughout the world including the U.S.

10 What can we learn from this unfortunate event?
11 First, that herbs used for centuries in folk medicine can
12 have serious toxic effects including cancer.
13 Aristolochia is hardly unique, comfrey tea, highly toxic
14 to the liver; sassafras, which contains a carcinogen; and
15 many other examples can be cited.

16 Second, the genotoxic properties of the major
17 component of Aristolochia had been established at NIH 20
18 years before the tragic event in Belgium.

19 Third, stronger laws are needed to protect the
20 American public from toxic substances sold as dietary
21 supplements. Last month, nine years after the initial
22 clinical report, and seven years after the herb was

1 banned in many European countries, the FDA finally sent
2 warning letters to pharmacies, manufacturers, and
3 consumers. Some voluntary recalls have been made.
4 However, Aristolochia remains available.

5 Now, contrast this failure of the FDA to
6 respond to reports of a serious herb-associated toxicity
7 with the situation that occurred with the drug
8 thalidomide in the sixties. Thalidomide, thalidomide,
9 introduced as a sleeping medication, was taken by
10 pregnant women whose children were subsequently born with
11 disfiguring birth defects. FDA was alerted, thalidomide
12 was not marketed in the U.S.

13 The public, however, was alerted and concerned,
14 and major new laws requiring more substantial proof of
15 safety and efficacy were enacted. Regrettably, basic
16 safety regulations that apply to OTC and prescription
17 drugs are not granted to herbal preparations under DSHEA.

18 Ephedra. Again we deal with an herb used in
19 traditional Chinese medicine. Analogs of the active
20 principal of ephedra, ma huang, known as sympathomimetic
21 amines have been subjected to more studies by
22 pharmacologists than any class of drugs in history.

1 These studies have shown that the structure,
2 pharmacology and toxic effects of ephedra alkaloids are
3 essentially similar to those of epinephrine,
4 methamphetamines, speed, and phenylpropanolamine PPA.

5 Now, in the U.S., herbs containing ephedra are
6 rarely used for its time-honored medicinal purpose, that
7 is, to relieve bronchial congestion. Instead, ephedra
8 has been adopted as a mainstay of weight reduction
9 programs and as an energy pill.

10 Over time, tolerance rapidly develops and the
11 toxic effects of higher doses on the cardiovascular
12 system and the central nervous system are both
13 predictable and profound.

14 In recent years, over 1,000 serious toxicities
15 associated with ephedra use were reported to the FDA, far
16 more than any other dietary supplement. Half of these
17 were in individuals under the age of 40.

18 Now, since less than 1 percent of adverse
19 effects resulting from dietary supplements are reported
20 to the FDA, we can estimate that 100,000 individuals had
21 suffered reactions to ephedra including cardiac
22 arrhythmias, hypertension, psychosis, seizures, and

1 death.

2 Now, still focusing on safety, compare the FDA
3 response to the toxicities associated with ephedra, an
4 herb, with those associated with phenylpropalanolamine, a
5 drug, knowing that the pharmacological properties of both
6 agents are essentially the same.

7 In a recent issue of the New England Journal of
8 Medicine, the adverse effects of these agents were
9 independently analyzed. The use of PPA was associated
10 with an increase in the number of strokes in young women.
11 An FDA warning was issued and pharmacies promptly removed
12 OTC drugs containing PPA from their shelves.

13 In contrast, although the simultaneously
14 published study of adverse effects of ephedra confirmed
15 the FDA's earlier analysis of those reports, no action to
16 protect the public was taken.

17 The final example of preventable toxicity has
18 enormous potential for harm. It has been alluded to
19 several times today, but not specifically. I refer to
20 herb-drug interactions as recently reported for St.
21 John's wort, a widely used herb in the U.S. and even more
22 widely used in Europe for symptoms of depression.

1 Essentially everything we take into our bodies
2 including drugs are metabolized and herbal medicines are
3 no exception. The pathway by which St. John's wort is
4 metabolized is shared by approximately half of all drugs
5 used by millions of Americans being treated for
6 hypertension, heart failure, asthma, AIDS, and other
7 chronic diseases.

8 Thus, this herbal remedy, apparently innocuous
9 when taken alone, has ability to inactivate the effects
10 of life-saving drugs on which many patients with chronic
11 diseases depend. You saw the data presented to you by
12 Dr. Eisenberg this morning.

13 Because herbal medicines contain many
14 chemicals, they are much more likely than pure drugs to
15 be involved in drug interactions, and the scope of this
16 problem could be significantly reduced simply by
17 requiring premarketing safety testing.

18 What should the Commission recommend to the
19 President to reduce the clear dangers associated with the
20 unregulated use of herbal medicines? I suggest the
21 following:

22 Echoing Dr. Eisenberg's strong plea and first

1 and foremost as a matter of highest priority, Congress
2 should amend DSHEA, so that manufacturers of dietary
3 supplements, including herbs and other botanicals, would
4 be required to obtain premarketing approval by
5 demonstrating safety to FDA.

6 Two. All recommendations contained in a recent
7 report by the Office of the Inspector General, I sent the
8 executive summary to you, with respect to reporting
9 adverse reactions to dietary supplements should be
10 implemented. Among other things, OIG recommends that the
11 manufacturer should report all adverse drug reactions to
12 FDA.

13 Third. NIH should conduct randomized, double-
14 blind, placebo-controlled clinical trials designed to
15 test, not only efficacy of selected herbal medicines, but
16 also to record their adverse effects. This essential
17 information recently obtained for St. John's wort, will
18 establish the all-important risk-benefit ratio.

19 Finally, if resources are limited, only after
20 the major safety issues have been addressed, basic
21 research on the mechanism of action and toxicities of
22 commonly used herbal medicines should be conducted as

1 this approach can provide leads to new drugs and help to
2 anticipate toxic effects.

3 Thank you.

4 **Panel Discussion**

5 DR. GORDON: Thank you. Thank you all for your
6 thoughtful comments and suggestions.

7 Tom.

8 MR. CHAPPELL: Thank you all very much for your
9 concern for consumer safety and good science. I am a
10 manufacturer of herbal-based wellness products that
11 qualify under DSHEA and OTC drugs with the FDA.

12 I share your concern and join you in wanting to
13 bring greater safety to consumers, and the route of doing
14 that is more knowledge, more science. I do want you to
15 be aware, however, of the options that are available to
16 us manufacturers at the present time, because it really
17 gets to my question that I have for Dr. Margolis and Dr.
18 Grollman.

19 We can do a responsible search of available
20 literature on the safety data of a given herb, and that
21 search can provide very clear indication of sufficient
22 clinicals or trials that have been conducted on an herb,

1 and that search will indicate whether there are problems
2 in history.

3 That search can also keep very current with
4 ongoing trials that are going on, so I think the first
5 responsible action is to do a sufficient search of
6 available information.

7 The second responsible action would be test the
8 safety and efficacy. That, too, is a possible course for
9 any of us in this business.

10 Now, having done both steps, a secondary search
11 and specific testing, the options available to us do not
12 change. They are: market under the DSHEA law, marked as
13 an over-the-counter drug, or apply for a new drug
14 application that is a long and expensive process.

15 What I am suggesting is that in the case of
16 plants, such as our herbs, commonly known herbs, that the
17 public wants to have access to, there is very little
18 proprietary data available because there are no patents
19 for such work.

20 The search, the motive to do the research is
21 very limited because it is not patentable, and I am not
22 recommending patentability of these herbal substances,

1 but I am suggesting that there is a far vast open area of
2 a middle ground of simply doing safety and efficacy
3 testing of herbs that does not require a new drug
4 application or does not require hitching the formulation
5 to an over-the-counter drug, monograph-approved item like
6 pseudoephedrine.

7 So, what would you recommend given the options,
8 because all I am saying to you is that for us to do the
9 secondary research, for us to do the clinical research,
10 the options don't change, they are still the same -
11 DSHEA, over-the-counter drug, or new drug application,
12 and it is extremely limiting.

13 So, if you want more evidence, what kind of
14 evidence, and the FDA does not have the time, is not
15 staffed to pass judgment on the tests that are done.
16 That is why postmarket is the best course they have.

17 They have testified here that safety is the
18 best they can keep up with. Efficacy is on, at best, a
19 chance or a crisis basis. So, the middle ground, it
20 seems to me, is just doing, as you say, use some
21 ingenuity, some basic evidence-based research, six-month
22 clinicals, 150,000 bucks or 250,000 bucks, whatever is

1 involved, and those are not small numbers to the people
2 in the health food industry. They are back-breaking
3 numbers to most of them.

4 At the moment, there is no middle ground, and
5 that is why even responsible manufacturers seek the
6 umbrella of either DSHEA or OTC drugs.

7 Now, for me --

8 DR. GORDON: Tom, excuse me. Do you have --

9 MR. CHAPPELL: I do have a question, would you
10 put this burden of testing -- but, of course, I had to
11 provide this background information --

12 [Laughter.]

13 MR. CHAPPELL: Would you put the burden of
14 testing, as you say in Item 3, Dr. Grollman, back to the
15 NIH for these commonly held or these common herbs for the
16 basic research, or would you put the burden on the
17 manufacturers? So, that is my question.

18 We are dealing with publicly available herbs
19 and drugs. You don't need a prescription for these
20 things. So, where do we place the burden of proof of a
21 publicly available plant, with the government or with the
22 manufacturer?

1 DR. GROLLMAN: I am very clear on this. I
2 definitely would place it with the manufacturer, and I
3 would point out -- but I think that some of the special
4 aspects have been taken care of in a document which I am
5 sure you are familiar with, that the FDA put out on
6 advice for botanical products.

7 They allowed you to take information from
8 abroad and from other things. In other words, use this
9 great, wide use and you could bring this. You can't do
10 this with a regular drug. I think this is very important
11 to be able to bring the evidence you have. From every
12 point of view, because of the diversity in your
13 profession, not all of the manufacturers particularly
14 where herbs are brought from abroad, and they can't be
15 checked, they actually can't be checked, they can't be
16 checked as to whether they have the right name on them,
17 et cetera, so the burden must be placed on the person to
18 do that.

19 Dr. Eisenberg put it very well this morning -
20 safety trumps efficacy. Safety is what we all owe the
21 people. It has nothing to do with the size of the
22 industry, the money, and actually a \$5 billion herb

1 industry is pretty good and is growing.

2 So, I think that an industry --

3 MR. CHAPPELL: It is declining at the rate of
4 25 percent a year currently.

5 DR. GROLLMAN: Last year, yes, but a \$5 billion
6 industry can certainly afford to do the testing that the
7 American people expect to have. The FDA obviously can't
8 do the testing, nor do I believe it should be shifted to
9 the taxpayer, which is basically what you have suggested
10 as the other possibility, shifting to the NIH.

11 So, I do feel strongly about that, but I think
12 that accommodations need to be made because of their long
13 history, and that document that I referred to, that you
14 know of, I think tries to do that, and I think they ought
15 to work with industry to further work within that.

16 DR. MARGOLIS: I agree completely that the
17 burden of proof, certainly for safety and hopefully for
18 efficacy, lies with the promoter or the manufacturer. I
19 am really impressed with your statement putting clearly
20 the problems that you face, but you say that these herbs
21 are widely available to the public, but they are only
22 widely available because they are widely promoted.

1 The public would never have heard of these
2 herbs if it weren't for the fact that they are advertised
3 all the time. So, that is what brings the public's
4 desire to have them, but they are unaware of the fact
5 that they either have potential risks or that they are
6 not beneficial.

7 So, I think the burden does have to fall on the
8 manufacturer, but there ought to be, and I would be in
9 favor of -- I am not familiar with the document you
10 mentioned -- but I would be in favor of alternative ways
11 to allow herbs that have proven safety and studies that
12 show efficacy to be marketed. That is the desirable
13 goal, not some rule that has to be passed, but that these
14 products are proven to be safe and effective.

15 MR. CHAPPELL: Well, thank you for your concern
16 and your suggestions.

17 DR. GORDON: Dean.

18 DR. ORNISH: I also want to thank the panel for
19 your thoughtful comments which were very helpful, and I
20 certainly agree with the position for the need for
21 evidence-based approaches. I think most of us on the
22 panel would agree with that.

1 I guess I just wanted to make two points. One
2 is certainly, Dr. Margolis, I share your concerns about
3 chelation and I thought you did a very good job about
4 critiquing that, but particularly I guess with the
5 National Council Against Healthcare Fraud, and so on, why
6 aren't you equally vigilant with conventional therapies
7 in terms of holding them to the same standard.

8 I think there is a concern among many of the
9 people that we have heard testifying that there is a
10 double standard, that the same rigorous scientific
11 evidence-based approach, which we all agree on, certainly
12 I do, isn't applied equally, I mean in the same vein --
13 or artery I should say -- as chelation, the same standard
14 to angioplasty or radioactive stents.

15 There was an article that was presented to the
16 American College of Cardiology just six or eight weeks
17 ago showing that radioactive stents actually increased
18 complications, atherosclerosis, and yet the use of
19 radioactive stents has increased since that time.

20 I don't see anything in the National Council
21 Against Healthcare Fraud journal -- I guess it's the
22 Scientific Review -- are you affiliated with the

1 Scientific Review of Alternative Medicine?

2 DR. LONDON: We are a separate organization
3 completely.

4 DR. GORDON: I'm sorry, I couldn't hear.

5 DR. LONDON: We are completely separate.

6 DR. ORNISH: But are you also working with that
7 journal, too, or not?

8 DR. LONDON: I think I am a contributing
9 editor.

10 DR. ORNISH: I would say that is some
11 affiliation.

12 DR. LONDON: But that is me personally, and I
13 am a volunteer for both.

14 DR. MARGOLIS: Can I address that first? The
15 reason I would like to is the last thing I did before I
16 came here today was review about six articles on
17 brachiotherapy for stent placements, and there is some
18 disagreement among them, but studies have been done.
19 Sincere efforts have been made to see if they work, to
20 test various doses.

21 They found that the first doses they used
22 didn't work, so they are trying different doses. They

1 are using different radioactive compounds. Some are
2 using beta, some gamma emitters.

3 So, I think the difference is not that there is
4 a double standard, but that there has to be at least an
5 effort, a sincere effort to study things, and there will
6 be disagreements, but at least they must be studied and
7 carefully evaluated, and that is a situation with
8 angioplasty and brachiotherapy.

9 DR. ORNISH: We are all in agreement with that,
10 but the difference is that you are also saying, and
11 certainly the journal is saying, that unless something
12 has been proven to be effective and safe in double-blind,
13 randomized trials, it shouldn't be used, and that is not
14 the case here, and yet it is still being used.

15 I am only making the point that I think that
16 your impact might be greater -- and this is just a
17 personal thing, not speaking so much as a commissioner --
18 if you applied the same rigorous standards equally to
19 conventional and other approaches, both in terms of
20 evaluation and the standard of what should be out there
21 and what should be made available, and as you say, people
22 may be using supplements because they are being pushed by

1 advertising, but they are using radioactive stents
2 because they are being pushed by people who have other
3 economic incentives, as well as a genuine desire to help.
4 I think you find that mixture of motivations on both
5 sides.

6 I just think that to the degree that those
7 standards could be applied equally, I think there might
8 be a better dialogue. I am also curious why -- just two
9 last points -- why you don't vigorously support
10 increasing the research budget for, say, the National
11 Center for Complementary and Alternative Medicine if the
12 goal is to have more good scientific research, which
13 certainly I think we both agree with.

14 The problem has been that the other double
15 standard is that until recently, it has been very
16 difficult to get those studies funded. You may say,
17 well, industry should be funding supplements, and that is
18 certainly one position, but many of these alternative
19 interventions have no industry as such, and I am just
20 curious to know what your position is.

21 This is directed both to Dr. Margolis and to
22 Dr. London on increasing funding for good quality

1 scientific research, because part of our recommendations
2 are going to be dealing with issues like that.

3 DR. MARGOLIS: Well, having served on several
4 NIH study sections, I can only say that I truly believe
5 that peer review of a well-designed study in alternative
6 medicine would be funded. That was always an
7 opportunity, and I don't remember in the years I was on
8 the study section that there was ever such a proposal
9 made.

10 Secondly, it happens that at Hopkins we have
11 just been given a large amount of money by the NIH to
12 study alternative medicine based on four proposals that I
13 was involved in, in some advisory way, four proposals to
14 carry out what we hope are scientifically valid studies
15 of alternative medicine.

16 DR. ORNISH: I am familiar with that because I
17 reviewed that for the NIH. I was on the study section.
18 But the point is that until this year, that was the first
19 year there was a study section to specifically look at
20 grants in NCCAM.

21 DR. MARGOLIS: I think that there needs to be
22 more provision of funds by the NIH for truly valid

1 studies, but at the same time, as I said earlier, the
2 government cannot possibly study every herb or procedure
3 that is being promoted, there just isn't enough money, so
4 they are going to have to be very selective in picking
5 the ones for which there is at least reasonable evidence
6 that they might be effective.

7 I think St. John's wort is a good example where
8 there was some reasonable evidence that it was helpful in
9 mild to moderate depression, and so they have chosen to
10 support that, and I hope they will continue to pick and
11 choose among the best options to provide support that
12 would give real evidence.

13 DR. GORDON: Joe first, and then Tieraona.

14 DR. FINS: Dr. Grollman, in your role as a
15 toxicologist, could you tell us about the level of
16 preparedness of the poison control centers in the country
17 to handle toxic events and any recommendations that you
18 might make to increase their level of awareness about the
19 toxicities related to supplements that they are
20 encountering as you demonstrate, and also any strategies
21 for tracking these events in a systematic way, so we can
22 understand the extent of the public health issues related

1 to these agents.

2 DR. GROLLMAN: I would be glad on, and you
3 should get from the national head of the Poison Control
4 Center, if you really want, you should have her, Ms.
5 Liebowitz, I think it is, should actually come here, but
6 here is the essence of what she would say.

7 They get calls from people who are taking
8 supplements. There is no way that the individual knows
9 from the label, nor is there any connection with the
10 manufacturer, so they are really operating in the dark.
11 So, they would urge you in the strongest way to make sure
12 the manufacturers, number one, are known and that there
13 is a listing, so that they would be able to do it because
14 you know how a poison control center, they have to act
15 quickly.

16 Now, with other chemicals and things, they have
17 that information. They do not have it. There are 17,000
18 cases related to dietary supplements this year. They
19 don't break them down into herbs. They have herb
20 homeopathic remedies. They don't break them down up
21 until now. Next year they will break them down.

22 I am sure you are going to find the biggest

1 section is with the herbs, but they don't have the
2 information to do it. That would be a very important
3 thing for you to put into it, and I would suggest that
4 you get a true poison control center, particularly the
5 executive director, who I think can do it.

6 Now, that report that I cited, I hope that you
7 have all taken advantage of it, the Inspector General's
8 Report addresses several of those as to how to do it,
9 and, in fact, the FDA should actually contract with the
10 poison control centers, so they can find out what is
11 going.

12 FDA gets a thousand reports. There is 17,000
13 of them out there, at least putative ones out there, so
14 they could contract with them, but you don't help the FDA
15 by starving it, and if they don't have the money to even
16 get a trivial contract, to get the information, so you
17 can help this whole process by suggesting that the small
18 amounts that the FDA is requesting -- you know, it is
19 2/10ths, 3/10ths of a percent that is not receiving
20 attention on the Hill, which would allow them to make
21 contracts with poison control centers, to get adverse
22 drug action, that would be a tremendous help.

1 DR. FINS: Of the people who staff these
2 centers, even if they had that information, you know, in
3 the next iteration, what is their educational
4 preparedness for handling the drug-drug interactions?
5 They know about insecticides and things like that, but
6 how much do they know about the supplements and what kind
7 of educational strategy would you recommend for their
8 CME?

9 DR. GROLLMAN: Well, remember herb-drug
10 interaction would be the kind like I described. You have
11 an older person who is taking, and all of a sudden he
12 goes into heart failure. Well, you really need an
13 internist, a trained family physician who puts it
14 together and says, gee, they are either not taking their
15 digitalis or something was interfering with it, but
16 certainly poison control centers would never, with any
17 education, couldn't handle that.

18 What I would really like to do is cut it off at
19 the beginning and have industry -- we spoke about the
20 cost of doing it. It doesn't cost a lot to test for drug
21 interactions, \$10- \$15,000. They give them a cocktail
22 and you could test your herbs. That is a very small

1 expense and done as a Phase I thing on volunteers, and
2 that is something the manufacturers could do with an
3 herb, and if you did that, then, maybe the problem would
4 disappear rather than taking care of it after the fact.

5 DR. FINS: Before that happens, we could
6 probably do this before that --

7 DR. GROLLMAN: Yes.

8 DR. FINS: Thank you very much.

9 DR. GORDON: Thank you. I also wanted to share
10 with you that we have heard a lot of testimony about
11 helping the FDA move ahead with their efforts especially
12 to monitor adverse events, and that is something the
13 Commission feels very strongly about, as well, so we
14 appreciate your recommendations and also about the Office
15 of the Inspector General Report. Thank you.

16 Tieraona.

17 DR. LOW DOG: I want to appreciate all of you.
18 Thank you for all of your comments. Mine are really
19 focused on the botanicals, and it is the Achilles' heel,
20 there is no question, and they range from foods and
21 spices to dilute drugs to potent substances, and so we
22 tend to just talk about them as herbs, and they are just

1 vastly different. You cannot compare comfrey to garlic,
2 I mean, so there is just huge differences there.

3 DSHEA is going to be difficult to overturn. I
4 mean we are seeing that. There is a lot of industry
5 fighting for an overthrow of that bill, of that act.

6 I know that the USP, which I work with, we are
7 in the middle of a pilot program right now that will be
8 available for people who want to participate, it is
9 voluntary, this fall, and it will assure the consumer
10 that that herb company has undergone an GMP inspection
11 meaning that it has shown how it can identify,
12 quarantine, look at the plants, it is free of heavy
13 metals, it is free of pesticides in accordance with the
14 law, that it is the right genus species, et cetera.

15 It is amazing how some support we are getting
16 from the industry and how much also resistance we are
17 getting from the industry for that.

18 The safety data is there for many of these
19 plants - ginseng, ginkgo, St. John's wort. They didn't
20 do mostly drug testing, p450, they didn't do those.

21 The problem that I think we are going to have
22 in this country, though, is that the German companies who

1 spent that money on doing that safety and toxicity
2 research, did it on their particular extract and product,
3 so the ginkgo is free of ginkgolic acid, but if you are
4 doing a different ginkgo, it may have it in there.

5 The black cohosh may not have the estrogenic
6 substance in it, black cohosh, Remifemin, but you might
7 in a product here.

8 So, I am curious, given the state of where we
9 are with DSHEA now, do you believe that the federal
10 government -- I believe the manufacturers need to step up
11 to the plate, too -- but do you believe that the federal
12 government has a role to play from a public health point
13 of view to take the top 20 to 25 herbs that make up 80
14 percent of the sales in this country and do the safety
15 studies on them?

16 I mean if they are not that expensive and we
17 take the major herbs that are being sold, is that
18 something that we should step up to the plate for as a
19 government or as an agency, one of the agencies from a
20 public health point of view?

21 DR. GROLLMAN: What the government should do is
22 not to overthrow. Overthrow is a word you don't use in

1 Washington. Just amend it. Just make the simple
2 amendment to put the burden on the manufacturers the
3 safety. That's all. So, that's a smaller, smaller
4 change. It addresses the point that was so discussed in
5 the very beginning, but I think that is -- and I think
6 the manufacturers should also attend, and they would, to
7 standardization is what you are bringing out in the
8 difference between the Germans.

9 Now, just being careful and just being standard
10 and having a commission and monograph isn't enough. Look
11 how many Germans have been taking St. John's wort for how
12 long for this, they didn't pick up the drug interaction
13 at all, so remember that just writing a nice monograph
14 never makes up for -- but I think that your specific
15 question was or the option was should the NIH or some
16 government agency take in the testing.

17 I think that would be going down a slippery
18 slope. I don't think they are going to do it, and while
19 the other, I think is a way of professionalizing and
20 actually saving the wonderful things that could be done
21 with herbal medicines is just get Mr. Hatch to let the
22 question be raised, and I think that we should look at it

1 again.

2 DR. GORDON: I just want to ask the
3 Commissioners if you would please focus on questions. We
4 have a significant but limited time with the panel.

5 DR. LOW DOG: The question about the amendment,
6 would you require safety and toxicity data for
7 everything, peppermint, chamomile, and would we take them
8 off the market? I mean I guess my question is, because
9 we have argued with this dilemma, what would the
10 amendment be, have you thought through that and how we
11 would do it?

12 DR. GROLLMAN: Well, I haven't thought through
13 as well as this letter that the FDA -- and they have
14 thought through, and it is treated very differently from
15 a drug. They are open to the information, not just from
16 this country, but it is a big step to say we would take
17 the information from abroad.

18 So, you could provide the information from the
19 -- commission the monographs, so that is going to
20 simplify it a great deal. Simpler, straightforward
21 cellular studies and testing for drug interaction, which
22 have never been done, just need to be done.

1 So, I think that new document offers a real
2 opportunity for a discourse for people who want to make
3 this happen.

4 DR. GORDON: Wayne.

5 DR. JONAS: Thanks. I think we heard from a
6 number of groups including the manufacturing industry,
7 that there should be some modifications of DSHEA, and I,
8 myself, am going to suggest that that be one of our
9 recommendations in some form. Clearly, that needs to be
10 addressed.

11 I am rather saddened actually by the lack of
12 interest there is in evidence. We talk about it, for all
13 the number of times we use the word evidence-based
14 medicine, I don't think people seriously are interested
15 in evidence. The reason evidence-based medicine, the
16 term and the whole process came about, was not because
17 the public wasn't using it, the public wasn't even
18 involved in the discussion, it was because professionals
19 weren't doing it, and every new technology that came up
20 got into practice before --

21 DR. GORDON: Wayne, could you come to the
22 question, please.

1 DR. JONAS: Yes -- and now we are just adding
2 on top of that the public and the manufacturing. I guess
3 the question is, is there a standard that most reasonable
4 people can agree? For example, would you agree that what
5 was discussed on the last panel, the Cochrane type of
6 work, is the type of evidence evaluation that we need to
7 be doing? Would everybody agree with that, Dr. London,
8 would you agree with that?

9 DR. LONDON: Since I walked in right as that
10 was ending, I am not --

11 DR. GORDON: Could you come a little closer to
12 the mike, please.

13 DR. LONDON: I didn't get to hear those
14 presentations.

15 DR. JONAS: Any others on the panel?

16 DR. MARGOLIS: I think it is a very good first
17 step. The problem is, as you heard, for most of the
18 studies they have done, they come to the conclusion that
19 they can't reach any conclusion, and that gets back to
20 the point that it is fine to do these literature
21 searches, but if there is no good data, they don't help
22 very much.

1 DR. JONAS: The next question is really what
2 you all are addressing, is all right, let's say we now
3 know what we do know or what we don't know, how do we get
4 that out into actual recommendations and practice, and I
5 think maybe that is where the government has not, and in
6 the past they have gotten into recommendations, but they
7 have pulled back from that, and now most government
8 agencies that I have dealt with are very loath to make
9 recommendations one way or another, positive or negative.

10 AHRQ actually had some trouble because they got
11 into make those kinds of recommendations, and now they no
12 longer do that anymore. So, I am wondering if there are
13 some suggestions from you all as to how evidence can get
14 better disseminated into practice and also to the public,
15 is there anything that we and the government can do to
16 recommend that, are there some suggestions that you have
17 assuming we can come up with agreements on what is good
18 evidence or what is at least a good methodology as to how
19 to really make communication, should there be a center
20 that is sanctioned, if you will, that then disseminates
21 that in some way, or are there any ideas about how to do
22 that?

1 DR. GROLLMAN: The gentleman from the Public
2 Health, working closely with NCCAM, he is developing
3 those, so clearly, people can do that. The German
4 experience is clear. You can get intelligent people
5 working together with information, and you can do that.
6 I think it is very important to do.

7 Now, the public gets it from the Internet, and
8 that is an impossible thing to sort out. I can't sort it
9 out, they certainly can't sort it out. So, I think that
10 that should be a strong recommendation to get the
11 information, but we certainly ought to be able to
12 communicate, and that certainly is a government
13 responsibility.

14 DR. JONAS: I think Bill during the break
15 recommended that what we really should be doing is
16 getting PubMed to work with AOL on line because they
17 actually are the main ones where most people get their
18 information, health information in some way.

19 DR. MARGOLIS: I am not sure I think it is a
20 good idea to have government do this, because if it comes
21 from government, there is always the question in the
22 minds of practitioners whether that is a regulation, a

1 requirement, or a recommendation.

2 So, I think it might be better to come from the
3 various groups, internal medicine groups or others who
4 could take this information and make recommendations to
5 internists, family physicians, surgeons, and so on.

6 But coming from the government, I am a little
7 concerned that it would be suspect.

8 DR. JONAS: [Off mike.]

9 DR. MARGOLIS: Except they don't have any
10 information, and I think the idea would be if these
11 Cochrane Reports or other studies would put together the
12 information, and it were credible, that a product or a
13 procedure should be used, then, I suspect those
14 organizations would recommend their use.

15 DR. JONAS: [Off mike.]

16 DR. MARGOLIS: That would be my thought, to
17 have them make the guidelines.

18 DR. GORDON: Don.

19 DR. WARREN: I was kind of interested in your
20 comment about the \$5,000 not being able to speak up. I
21 believe that people, no matter what they spend, if they
22 think they have been done wrong or they think they have

1 been scammed --

2 DR. GORDON: Don, could you come a little
3 closer.

4 DR. WARREN: -- I think they are going to say
5 something one way or the other. We can differ about
6 that, and that's okay.

7 We talk about a lot of things here with CAM.
8 We also have a problem with drugs in that we have off-
9 label use of drugs. We are talking about having the
10 manufacturers of all CAM products prove their efficacy
11 and safety.

12 Should we then have the drug manufacturers
13 prove the efficacy and safety of the off-label use of
14 their drugs? And then I have got one more thing after
15 that.

16 DR. MARGOLIS: That sounds like a question to
17 be answered by a pharmacologist.

18 DR. GROLLMAN: That is not a bad idea.
19 Certainly, that has not been the case. I think it is a
20 very reasonable proposition to do so.

21 DR. WARREN: And these off-label uses of the
22 drugs are promoted. Does that mean they are fraud?

1 DR. MARGOLIS: No, no, no.

2 DR. GROLLMAN: That is not correct.

3 DR. MARGOLIS: If a pharmaceutical
4 representative is in your room and you mention an off-
5 label drug use, they will say we can't talk about that.
6 They really do not promote that.

7 DR. GROLLMAN: They can't promote the off-
8 label.

9 DR. WARREN: Then, I have one other question.
10 Dr. London, who funds your organization?

11 DR. LONDON: Mostly members and subscribers to
12 our newsletter and people who occasionally buy a book or
13 a T-shirt or something like that.

14 DR. WARREN: Any drug companies?

15 DR. LONDON: No.

16 DR. WARREN: Any medical organizations?

17 DR. LONDON: No.

18 DR. WARREN: I just wanted to know.

19 DR. GORDON: Thank you.

20 I have a question, particularly for Dr. London,
21 but really for any of you. In your recommendations,
22 there is sort of a theme saying that if there is

1 information, if information is gained, that a practice is
2 either ineffective or fraudulent, that information ought
3 to be disseminated, or that some kind of regulation ought
4 to happen.

5 Do you have any thoughts about how that would
6 be implemented? How would we gather the information?
7 And then, who would gather it? And then, how would you
8 suggest getting that information out to the public and
9 practitioners, as well?

10 DR. LONDON: I am not sure how you would do
11 that. My concern is with an imbalance that is set up
12 when methods are studied that don't necessarily have a
13 plausible rationale to support them.

14 Just the fact that things get studied lead
15 reasonable people to think some experts must think there
16 is something there to base that on. I don't know, with
17 all the investment and studying a variety of methods,
18 that might not get funded on the basis of the strengths
19 of its rationale, what we have learned at this point.

20 I am kind of stumped, but I think it all sends
21 a message that raises expectations, and I think something
22 needs to be done to temper them, perhaps messages, clear

1 messages, that, just because something is under
2 investigation doesn't necessarily mean it is something
3 that is promising.

4 DR. GORDON: I think it is a bind because we
5 have to find out, get some answers. I think that what is
6 happening is, for example, the St. John's wort study that
7 was recently published in JAMA showing it was not
8 particularly effective for serious depression is an
9 example of studying an herb and coming out with a
10 negative result, and publishing it and letting people
11 know.

12 So, I think we are in a quandary. We need the
13 information, just as the other two panelists have said,
14 and since we need the information to make
15 recommendations, yes or no, we are really open to
16 figuring out when something is not effective or is
17 dangerous. We would like to know how to better get that
18 information out, just as we would like to get information
19 out if something is beneficial. That is where we are at
20 this point.

21 DR. MARGOLIS: I will reiterate the point that
22 I made, that even if the public isn't informed, then at

1 least the practitioners should be informed and follow
2 appropriately. I would like to cite what was a
3 recommendation. It says, "Resolved, that pending the
4 results of thorough, properly controlled studies, the
5 American Osteopathic Association does not endorse
6 chelation therapy as useful for other than its currently
7 approved and medically accepted uses, which is for heavy
8 metal, like lead poisoning."

9 So, here is a statement made in 1985, again in
10 1990 and 1995. It apparently has had no effect on the
11 osteopaths or other physicians who do chelation therapy.
12 So, evidently that approach doesn't work.

13 DR. GORDON: Yes.

14 DR. GROLLMAN: Just to sort of tidy up on two
15 points that I wanted to comment. One was the question of
16 the double standard, a very good point being made, but I
17 want to differentiate in terms of my own presentation. I
18 don't think there is -- this is to your question -- a
19 double standard for new chemical agents.

20 There clearly is a double standard for
21 procedures, and they are not controlled, but for new
22 chemical agents, drugs, I think we do have a method that

1 works, and we could apply it to the other.

2 The second was, there has been a lot of
3 speaking about the public, and protecting the public, but
4 the public is pretty smart. My reading of the 25 percent
5 fall -- by the way, I thought it was only 15 percent.
6 That is what I read in Herbalgram, anyway. At any rate,
7 it is leveling off.

8 I think the public is now concerned about the
9 herbal things. They have heard. They are not waiting
10 for the Commission report. They are certainly not
11 waiting to hear from pharmacologists. So I think that
12 they are on to that, and another reason why I think your
13 recommendations will get some urgency, particularly if we
14 can address a safety aspect of herbal medicine.

15 DR. GORDON: I just want to share with you that
16 we have consistently heard, a major concern of public and
17 responsible manufacturers is, that what is said to be in
18 the bottle is not in the bottle in the strength or the
19 appropriate form. So, this clearly, I think, just
20 confirms everything that we have heard over the last nine
21 months of hearing testimony. That is a major concern.

22 Tom.

1 MR. CHAPPELL: Dr. Grollman, I would just to
2 clarify your position and your recommendations. Your
3 third recommendation is that the NIH should conduct
4 randomized, double-blind, placebo-controlled clinical
5 trials.

6 DR. GROLLMAN: On selected herbs, just as they
7 are doing. The NCCAM is doing just what I would like
8 them to do.

9 MR. CHAPPELL: And the object of those tests is
10 what?

11 DR. GROLLMAN: That is for efficacy, and that
12 is for benefit, because if we have something that has a
13 risk, and we know that it has no benefit or the benefit
14 is placebo, we need to know that.

15 We don't have both sides of the equation. We
16 certainly don't have the risk. That is the safety side,
17 and although I didn't address efficacy, others have, it
18 would be nice to have the risk and the benefit.

19 So, doing the proper studies, as has been said
20 over and over again, and having them done under NCCAM
21 with the funding, on those that seem to have promise, is,
22 I am just saying, more of the same.

1 MR. CHAPPELL: So, public dollars.

2 DR. GROLLMAN: Public dollars absolutely.

3 MR. CHAPPELL: Your fourth recommendation is
4 that basic research should be conducted on the mechanisms
5 of action. Now, as you know, that is even more
6 complicated a study than safety studies.

7 DR. GROLLMAN: You have dozens of very excited
8 pharmacologists, like myself, who would love to do these
9 sort of studies, both on the toxic and on the therapeutic
10 things. Don't forget, although there is no published
11 data on this, but those of you who have a connection with
12 industry, the pharmaceutical companies have been
13 exploring botanicals for the last 40 years.

14 Now, they don't publish when they don't work,
15 or when they do work, but there is no better source of an
16 unusual molecule, and there are wonderful screens now
17 that they can put them in. Chemists don't think that
18 way. They still don't think as complicated as that.

19 So, they are a wonderful source, the
20 pharmaceutical industry, academics, to look at their
21 mechanism of action and toxicity. Academics have to get
22 their funding from NIH. The pharmaceutical companies are

1 driven by themselves. I am suggesting more of that.

2 MR. CHAPPELL: That is consistent with what I
3 was saying, as well.

4 DR. GROLLMAN: Yes, absolutely.

5 MR. CHAPPELL: Very good. Thank you.

6 DR. GORDON: Effie.

7 DR. CHOW: I don't have a question. I just
8 really have a comment, that I really appreciate this
9 panel's discussion because it has brought forth and
10 confirmed our discussions in our groups about the safety.
11 We are really concerned about safety. You have brought
12 up some very good suggestions and some ideas, and I just
13 want to say thank you for that.

14 DR. GORDON: Did you want to say something?

15 DR. LONDON: I did want to answer your question
16 again about how to get the information out. It seems to
17 me that if you can call a method "alternative" or
18 "complementary," that is categorizing it in some way, and
19 I have questioned the meaningfulness of those terms.

20 What is to stop characterizing various methods
21 as unproven. If you can label it one way, why not label
22 it in a way that is meaningful. I think, in my remarks,

1 I suggested three ways you can look at alternatives or
2 complements: one, genuine; two, experimental but hopeful.

3 My concern about experimental is that they
4 should be things that have a promising, plausible
5 rationale. The third, was genuine.

6 It seems to me if you can call things
7 complementary and alternative, you can just as easily
8 call them --

9 DR. GORDON: So, in fact, those categories
10 transcend complementary, alternative, and conventional.

11 DR. LONDON: I don't buy into the notion of
12 categorizing things as alternative and unconventional, or
13 complementary and conventional. I don't buy into it,
14 period.

15 DR. GORDON: I appreciate that. Thank you very
16 much. Thank you all three, and, please, if you have any
17 further thoughts, let us know.

18 [Applause.]

19 DR. GORDON: We will adjourn now and convene
20 again tomorrow morning at 8:00.

21 [Meeting recessed at 5:30 p.m., to reconvene
22 Wednesday, May 16, 2001, at 8:00 a.m.]

