



Performance Reporting

Voice: (301) 871.0010 Fax: (301) 871.0020
Toll Free: (877) 871.0010

PHOTOCOPY
PRESERVATION

WHITE HOUSE COMMISSION
on
COMPLEMENTARY and ALTERNATIVE MEDICINE POLICY

+ + +

Meeting Topic II:
CAM: Research Challenges

+ + +

Volume III

+ + +

Wednesday, May 16 2001

8:00 a.m.

Academy for Educational Development
1825 Connecticut Avenue, N.W.
Academy Hall, 8th Floor
Washington, D.C.

PERFORMANCE REPORTING

Phone: 301.871.0010 Toll Free: 877.871.0010

PARTICIPANTS:

Chairperson

James S. Gordon, M.D., Director
The Center for Mind-Body Medicine

Commission Members

George M. Bernier, Jr., M.D.
Vice President for Education
University of Texas Medical Branch

Thomas Chappell
Co-Founder and President
Tom's of Maine, Inc.

Effie Poy Yew Chow, Ph.D., R.N., DiplAc (NCCA)
Qigong Grandmaster
President, East-West Academy of Healing Arts

George T. DeVries, III
Chairman, CEO, American Specialty Health Plans

William R. Fair, M.D.
Attending Surgeon, Urology (Emeritus)
Memorial Sloan-Kettering Cancer Center
Chairman, Clinical Advisory Board for Health, LLC

Joseph J. Fins, M.D., F.A.C.P.
Associate Professor of Medicine,
Weill Medical College of Cornell University
Director of Medical Ethics,
New York Presbyterian Hospital-Cornell Campus

Veronica Gutierrez, D.C.
Gutierrez Family Chiropractic

Wayne B. Jonas, M.D.
Department of Family Medicine
Uniformed Services University of the Health Sciences
F. Edward Herbert School of Medicine

Charlotte Kerr, R.S.M.
Traditional Acupuncture Institute, Inc.

Linnea Signe Larson, LCSW, LMFT
Associate Director
West Suburban Health Care
Center for Integrative Medicine

PERFORMANCE REPORTING

Phone: 301.871.0010 Toll Free: 877.871.0010

PARTICIPANTS (continued):

Commission Members

Tieraona Low Dog, M.D., A.H.G.
(Private Practice)

Dean Ornish, M.D.
President/Director
Preventive Medicine Research Institute
Clinical Professor of Medicine
University of California, San Francisco

Conchita M. Paz, M.D.
(Private Practice)

Joseph E. Pizzorno, Jr., N.D.
Co-Founder/Founding President, Bastyr University

Buford L. Rolin
Poarch Band of Creek Indians

Julia R. Scott
President
National Black Women's Health Project

Xiaoming Tian, M.D., LAc
Director, Wildwood Acupuncture Center
Academy of Acupuncture & Chinese Medicine

Donald W. Warren, D.D.S.
Diplomate of the American Board of
Head, Neck & Facial Pain

Commission Members Not Present

David Bresler, Ph.D., LAc, OME
Dipl.Ac.(NCCAOM)
Founder and Executive Director
The Bresler Center, Inc.

PARTICIPANTS (continued)

Executive Staff

Stephen C. Groft, Pharm.D.
Executive Director

Michele M. Chang, C.M.F., M.P.H.
Executive Secretary

Joseph M. Kaczmarczyk, D.O., M.P.H.
Senior Medical Advisor

Corinne Axelrod, M.P.H.
Senior Program Analyst

Geraldine B. Pollen, M.A.
Senior Program Analyst

Joan Albrecht
Program Assistant

Doris A. Kingsbury
Program Assistant

Consultant Staff

Kenneth D. Fisher, Ph.D.
Senior Scientific Advisor

Maureen Miller, R.N., M.P.H.
Senior Policy Advisor

James Swyers
Writer/Editor

C O N T E N T S

**Meeting Topic II:
CAM: Research Challenges**

Page No.**Panel V: Research Methodology**

John A. Chabot, M.D.
Columbia University 8

Marcia Angell, M.D.
Editor-in-Chief, Emeritus, New England Journal
of Medicine 15

Jennifer Jacobs, M.D., M.P.H.
American Institute of Homeopathy 22

B. Alex White, D.D.S., Dr.P.H.
Kaiser Permanente Center for Health Research 30

Bruce Rabin, M.D., Ph.D.
University of Pittsburgh 40

Panel Discussion 48

Panel VI: Training of Conventional and CAM Investigators

Nancy Pearson, Ph.D.
National Center for Complementary and Alternative
Medicine, NIH 86

Neal West, Ph.D.
National Center for Complementary and Alternative
Medicine 90

Robert H. Blanks, Ph.D.
University of California at Irvine 92

Russell Phillips, M.D.
Harvard University 102

Richard Hammerschlag, Ph.D.
Oregon College of Oriental Medicine 113

Panel Discussion 121

**Panel VII: Publications of Peer-Reviewed CAM
Research Results**

Edward W. Campion, M.D.
New England Journal of Medicine 152

PERFORMANCE REPORTING

Phone: 301.871.0010 Toll Free: 877.871.0010

CONTENTS (continued)

Jackie C. Wootton, M.Ed. Journal of Alternative and Complementary Medicine	156
Phil B. Fontanarosa, M.D. Journal of the American Medical Association	164
David Riley, M.D. Alternative Therapies in Health and Medicine	174
Christine Laine, M.D., M.P.H. Annals of Internal Medicine	181
Arnold Relman, M.D. Editor-in-Chief, Emeritus, New England Journal of Medicine	188
Panel Discussion	195
Group Reports and Discussion	
Group IIIA: CAM Research and Publication Dr. Tieraona Low Dog, Chair	222
Group IIIB: CAM Research Methods, Training, and Concerns Dr. Wayne Jonas, Chair	245
Public Comment Session:	
James Sensenig, American Association of Naturopathic Physicians	279
Candace Campbell, American Preventive Medical Association	281
Diane Davis Cole, University of Virginia Cancer Center	286
Susan Pittman, American Dietetic Association	289
Audrey Di Maria, Art Therapy Credential Board	292
Judy Simpson, American Music Therapy Association ...	295
Panel Discussion	298
Nancy Kristine Haller, Feldenkrais Guild of North America	314
Julie Merrill, National Certification Commission for Acupuncture and Oriental Medicine	318
Su Liang Ku, Florida Institute for Traditional Chinese Medicine	320
Sandra Chase, National Center for Homeopathy	323

PERFORMANCE REPORTING

Phone: 301.871.0010 Toll Free: 877.871.0010

CONTENTS (continued)

Panel Discussion	326
Edward Owens, National Navel Medical Center	336
Matt Ward Russell, National Integrative Medicine Council	338
Carolyn Jones Sabatini, Pharmavite Corporation	342
Christina Walker, The Shenk Human Energetic Institute	347
Alan Dumoff, Private Practice	349
Panel Discussion	352
Adjournment	357

P R O C E E D I N G S

[8:00 a.m.]

DR. GORDON: Good morning. Nice to have you. Our other commissioners are coming in momentarily. Thank you for being so prompt.

Before we begin each morning, we just sit quietly for a moment and gather ourselves in the space.

[Moment of silence observed.]

Panel V: Research Methodology

DR. GORDON: Thank you. This is the second day of the panels on research. This first panel is on research methodology. We will begin with John Chabot.

Presenter: John A. Chabot, M.D.

DR. CHABOT: Good morning. I am a surgeon, which may be unique in this group. That gives me a bit of perspective that other people don't have. I came to alternative medicine, to an interest in alternative medicine, through research, rather than the other way around. I suspect most people you will be hearing from developed an interest in alternative medicine first, and then developed a research focus there.

What happened to me was, several years ago, the

PERFORMANCE REPORTING

Phone: 301.871.0010 Toll Free: 877.871.0010

1 director of our Comprehensive Cancer Center, Karen
2 Antman, was approached by the NCI to look at designing a
3 trial to study the protocol used by Nick Gonzalez to
4 treat various cancer patients, and specifically we were
5 asked to think about pancreatic cancer.

6 Karen approached me, as our local pancreatic
7 cancer person, and said, would you consider it.
8 At that point, I had no personal experience with
9 alternative medicine treatments or any specific interest.
10 I looked through Nick Gonzalez's data and became much
11 more interested.

12 Initially, I looked at it from a very skeptical
13 perspective. His results were so remarkable, in fact,
14 that I was completely suspicious. As I went through his
15 data and his information, became more and more convinced
16 that the pilot trial that he had done under the auspices
17 of the NCI was both valid and important.

18 He, over several years, treated 11 pancreatic
19 cancer patients with a regime that he had developed from
20 historical data. The regimen primarily consists of very
21 high-dose pancreatic enzyme supplements in conjunction
22 with a very rigorous nutritional program that is highly,

1 highly specific, day to day, highly specific dietary
2 regime that leaves very little room for deviation. It
3 includes fresh juices, prepared on the day. It includes
4 micronutrient supplements. It includes cleansing and
5 cathartic regimes at specified time intervals.

6 The data on those first 11 patients
7 demonstrated a 17-month median survival for patients with
8 advanced pancreatic cancer. In looking through the data
9 records, it became clear to me that these, in fact, were
10 all patients with documented pancreatic adenocarcinoma,
11 and it was advanced disease. So the initial skeptical
12 thought being, well, these aren't real cases, I certainly
13 convinced myself that these were patients who had real
14 cases of pancreatic adenocarcinoma.

15 The longest surviving patient in that group, I
16 actually interviewed. Because he had a very limited
17 disease remaining, he asked me if I would consider re-
18 operating on him. He was four and a half years out from
19 his original disease, was deemed unresectable initially,
20 had been on the regimen for four and a half years and had
21 what appeared to be very limited disease by all
22 measurements.

1 I was interviewing him to get data, but he
2 eventually convinced me to do an operation on him, and I
3 found him, at that point, to still have unresectable,
4 poorly differentiated pancreatic adenocarcinoma.

5 With that in mind, the treatment became a very
6 real potential therapy for advanced pancreatic cancer, in
7 my mind, and we set about designing a trial. We put
8 together a standard, randomized trial to compare
9 Gemcitabine, which is the standard chemotherapeutic agent
10 for advanced pancreatic cancer with the Gonzalez regimen.
11 It was funded by the NCI, and we worked to get it through
12 our various internal regulatory bodies, the Institutional
13 Review Board and the Cancer Committee.

14 That represents an important hurdle, I think,
15 in trying to do these trials, because just as there are
16 strong advocates of alternative medicine, there are
17 strong biases against alternative medicine and working
18 through those committees is a chore when you are trying
19 to do this sort of trial. We were successful. We
20 designed a good trial. Scientifically, it was valid, and
21 we made it through the regulatory committees.

22 The initial year of the trial, we had a huge

1 interest. There was a fair bit of publicity about this,
2 none of it solicited by us, but with a lot of press
3 involvement in these sort of trials. We were on TV and
4 in the newspapers. We had huge numbers of patients call
5 with interest. Many, many, many of the patients did not
6 fit the eligibility criteria we had set, which are really
7 very narrow. Those patients who did fit the eligibility
8 criteria, there were almost none of them who were willing
9 to enroll in a randomized trial.

10 In the first year, we randomized three patients
11 into the trial, despite a huge interest, enough patients
12 to easily fit into the pattern where we would expect to
13 be able to complete the trial. It became very clear that
14 we would never collect adequate numbers of patients to
15 come to a conclusion.

16 So that, we then got the research group back
17 together, tried to make a decision, and did come to a
18 conclusion that we could do this trial in a non-
19 randomized fashion by carefully stratifying the critical
20 variables. We went to the literature and confirmed that
21 the two critical variables in determining anticipated
22 survival in patients with pancreatic cancer were extent

1 of disease, basically volume of disease, and the
2 patient's ability to eat.

3 With those two critical variables, we
4 redesigned the trial, resubmitted it for approvals, and
5 we are now running a trial where patients can choose
6 which therapy they receive, whether it is a chemotherapy-
7 based treatment or the Gonzalez regimen, and we are
8 stratifying those two important variables to generate a
9 control group as well as the experimental group.

10 We now are accruing patients regularly. From a
11 practicality perspective, this trial, I think, will
12 effectively collect the appropriate number of patients
13 for us to draw a conclusion. In the absence of a
14 randomized design, there are obvious pitfalls here in
15 drawing conclusions from the data once it is generated,
16 the randomized design being much easier to eliminate
17 biases.

18 So that is where we stand today in my
19 experience over the last two and a half years with this
20 particular trial. Now knowing a bit about alternative
21 medicine, I have come up with several observations, and I
22 think they can only be called observations at this point.

1 Among those people that we have screened for this
2 protocol, the bias toward alternative therapy is very,
3 very strong.

4 The vast majority of people who call with
5 interest are only interested in alternative medicine
6 approaches. Patients who express interest in this
7 protocol are generally healthier than the overall
8 population of patients that I see in my practice with
9 advanced pancreatic cancer. The way this protocol, and,
10 I suspect, many are designed, the patients who meet the
11 eligibility criteria are clearly healthier than the
12 general population of advanced pancreatic cancer
13 patients.

14 One has to be very careful coming to any
15 conclusions comparing these patients with the general
16 population of pancreatic cancer patients.

17 I will stop at my third observation. The
18 effects of psychosocial support and delivering hope to
19 these patients is not insignificant. In the absence of a
20 blinded, randomized trial, separating these effects from
21 the physiologic and pharmacologic effects will be a major
22 challenge in trial design.

1 DR. GORDON: Thank you very much, Dr. Chabot.
2 Marcia Angell.

3 **Presenter: Marcia Angell, M.D.**

4 DR. ANGELL: Thank you for inviting me to
5 address you this morning.

6 CAM is an umbrella term for a large number of
7 disparate practices based, often, on very different
8 theories. They include homeopathy, therapeutic touch,
9 naturopathy, chiropractic, acupuncture, and on and on.
10 Many of them have very little in common, except for the
11 fact that they are practiced, largely, in the absence of
12 good scientific evidence to support their use. More
13 about that later.

14 Because CAM consists of such disparate
15 practices, it makes no sense to imagine that a single
16 research methodology would apply to all of them. A
17 clinical trial of acupuncture, for example, presents
18 different methodologic problems from a trial of an herbal
19 remedy.

20 The former would require particular attention
21 to the selection of a comparison group, or more likely
22 comparison groups, whereas the latter, a trial of an

1 herbal remedy, would require particular attention to the
2 composition of the product being tested.

3 Still less, does it make sense to imagine that
4 we need a brand new methodology to study CAM. The
5 problems in designing trials of new drug combinations to
6 treat leukemia, for example, are not all that different
7 from the problems in studying herbal remedies. The
8 problems in studying fetal cell transplantation for
9 Parkinson's disease are similar to those of studying
10 acupuncture.

11 Almost none of these methodologic problems are
12 insoluble. For years, scientists have managed to devise
13 valid, sometimes quite ingenious, ways to study potential
14 new remedies, and there is nothing qualitatively
15 different about CAM.

16 Despite some differences in the details of
17 research methodology, the overarching approach must be
18 the same, the application of the scientific method. This
19 means formulating a hypothesis that can be tested. It is
20 no good to have a hypothesis that can't be tested,
21 designing a study that will actually test it, collecting
22 verifiable data, and drawing those conclusions, and only

1 those conclusions, that follow from the data.

2 The hallmark of the scientific method is its
3 utter reliance on evidence. No evidence, no conclusions.
4 In the case of proposed medical treatments, CAM or
5 otherwise, randomized, controlled clinical trials are
6 usually required, not always but usually. That is
7 because they eliminate or reduce biases that stem from
8 confounding variables, variations and uncertainties in
9 natural history, the placebo effect, and the expectations
10 of researchers and subjects.

11 Needless to say, the condition that is being
12 studied must be precisely defined, and the outcomes
13 precisely defined, so that a study can be replicated.

14 I want to emphasize that the scientific method
15 is not one of a number of options. It is the only way to
16 answer questions about the natural world, and the human
17 body is a part of nature. It is not a cultural or
18 Western phenomenon, it is universal.

19 True, it has emerged and been applied at
20 different rates in different parts of the world, but that
21 is mostly a reflection of economic conditions. Whenever
22 countries can afford to adopt what is sometimes called

1 Western medicine, they do. Medical care in Japan, for
2 example, is not much different from medical care in the
3 United States. Even in China, Western medicine is
4 replacing traditional practices.

5 Now, I would like to say a few words about the
6 questions you posed to this panel. Many of them, I
7 think, are in the form of, have you stopped beating your
8 wife, in the sense that they are based on a dubious
9 premise. The premise is that there is something
10 different about studying CAM that will require
11 modification of current methodologies.

12 Let me highlight two of the implied differences
13 because they are, in fact, not different at all. You
14 seem to suggest CAM is unique in involving multiple
15 interventions and complex systems. That is simply not
16 true. The standard treatment of diabetes or childhood
17 leukemia, for example, involves multiple interventions
18 and complex systems.

19 Many standard drugs, such as digitalis, were
20 derived from complex botanicals. It is important,
21 nonetheless, that the various components of treatment
22 regimens be sorted out so that the contributions of each

1 are identified.

2 You also imply that CAM is different because it
3 requires individualization of treatment, but in standard
4 medicine, good doctors have always been ready to select
5 or modify treatment according to individual response.
6 What is not possible, in general -- there are exceptions
7 -- but what is not possible in general, is to evaluate
8 the safety and effectiveness of new treatments in
9 individuals. That requires a sample of a population, and
10 the population can be chosen to be as diverse or as
11 homogeneous as possible. It depends on what you want to
12 find out.

13 Fortunately, humans are more similar than they
14 are different. So that, a beta blocker or a healthy diet
15 tend to work in everyone in much the same way, although
16 not in the identical way. The fact is that there are not
17 two kinds of medicine, CAM and standard medicine. There
18 is only medicine that has been adequately tested, and
19 medicine that hasn't; medicine that works, and medicine
20 that may or may not work.

21 In general, CAM falls into the second category.
22 Standard medicine falls into both. I don't want to imply

1 for a second that all standard practices have been
2 scientifically tested.

3 This would be a good time to say a few words
4 about the German Commission E monographs, since they are
5 often considered a source of scientific evidence on
6 herbal remedies. Commission E was established by the
7 German Government in 1978 to evaluate the safety and
8 effectiveness of herbal remedies. Over the years, it
9 issued its findings in a series of 380 monographs, now
10 collected together and translated.

11 Here is the shocker. They contain not a single
12 citation to any research study. The introduction to the
13 English translation of the compendium states that, "Only
14 in conflicts or cases of disputes to the medical act can
15 an attorney or scientific organization view these
16 references."

17 Even then, the references might not be there,
18 since, "Some of the materials upon which the monographs
19 were based were unpublished studies of proprietary
20 products from manufacturers in Germany." In fact, it is
21 acknowledged that many of the monographs are based only
22 on materials supplied by the KP, an organization of

1 German manufacturers.

2 Now, no one should accept ex-cathedra
3 pronouncements about scientific research, no matter what
4 the source, without having access to the evidence on
5 which they are based. In this case, it seems the
6 opinions are not just those of an unbiased governmental
7 body, but also of the industry that gains from favorable
8 evaluations.

9 One should also be wary of accepting the notion
10 that there is a gold mine of scientific information
11 sequestered in another language. Since science is
12 universal, and English is a lingua franca of science,
13 that is highly unlikely.

14 In summary, we need to get on with the job of
15 testing CAM treatments rigorously, because millions of
16 people are taking remedies for which there is little or
17 no evidence. Tradition, testimonials, and speculation
18 are no substitute. This has been demonstrated repeatedly
19 throughout medical history. What seemed true, and
20 sometimes had seemed true for millennia, often proved
21 false.

22 The spectacular advances in clinical medicine

1 during the 20th century correlated with the growing
2 acceptance of the need to demonstrate scientifically.
3 Whether biologically implausible remedies should be
4 tested is arguable, since we don't have the resources to
5 test everything.

6 I have come to believe, somewhat reluctantly,
7 that even biologically implausible remedies should be
8 tested for safety and effectiveness if, and only if, they
9 are already being widely used. If they are shown, and
10 any other remedy is shown, to be effective and reasonably
11 safe, they should be incorporated into clinical practice,
12 regardless of whether they originate as CAM, but there
13 are no shortcuts and there should be no free passes.

14 Thank you.

15 DR. GORDON: Thank you very much.

16 Jennifer Jacobs.

17 **Presenter: Jennifer Jacobs, M.D., M.P.H.**

18 DR. JACOBS: I would like to thank the
19 Commission for inviting me here today. I am going to be
20 speaking from the perspective of a physician who has been
21 using homeopathy in my practice for almost 25 years, and
22 also a researcher in the area of homeopathic medicine.

1 Homeopathy has long been an enigma, due largely
2 to the high dilutions of the medicines that are used.
3 While the mechanism of action of homeopathy is not known,
4 nearly 200 years of anecdotal evidence from all parts of
5 the world has convinced many patients as well as
6 physicians of its efficacy.

7 In France, 33 percent of medical doctors use
8 homeopathy in their practices, while in India, it is used
9 by up to half of the population. In that United States,
10 3.4 percent of the population used homeopathy in 1997,
11 which was a five-fold increase since 1990.

12 Homeopathy is based on the "principle of
13 similars," first described by Samuel Hahnemann in the
14 late 18th century. Substances that have been found to
15 cause certain symptoms in someone who is healthy are used
16 to treat those same symptoms in someone who is sick.

17 Another important tenet of homeopathy is
18 individualization, whereby each person is treated with a
19 specific remedy which fits his or her unique pattern of
20 physical, emotional, and mental symptoms. For this
21 reason, different people with the same diagnosis may
22 receive one of several different homeopathic medicines.

1 Homeopathy has been found to be extremely safe,
2 due to the low concentrations of active substances, as
3 well as efficacious in many clinical trials. A meta-
4 analysis published in "The Lancet" in 1997, established
5 clearly the efficacy of homeopathy when compared to
6 placebo. The investigators also found, however, that
7 many studies had not been replicated, something that has
8 changed since the time of that meta analysis publication.

9 Homeopathy has also been found to be cost
10 effective, reducing by more than half the use of
11 conventional medicines in those physicians who use it.
12 In France, where homeopathy is included in the national
13 health system, medical costs have been estimated to be 15
14 to 25 percent lower for homeopathic physicians. The
15 average cost of a bottle of 100 homeopathic tablets in
16 the United States is \$5 to \$10.

17 There are many barriers, both political and
18 methodological, to carrying out good homeopathic
19 research. Many of these things also apply to other areas
20 of CAM research. The first is funding. Funding has been
21 limited by the fact that homeopathic medicines are
22 generic and cannot be patented. Thus, there are no

1 profits to be made from investments in research. Because
2 of this, sample sizes have been relatively small in
3 homeopathic trials, which means that only large
4 differences can be detected between treatment groups.

5 In the childhood diarrhea research that I
6 carried out, p values in the range of 0.03 to 0.05 were
7 found in individual studies of 87 to 125 patients.
8 However, when these studies were combined to yield more
9 than 200 patients, the p value drops to 0.002. If enough
10 money is available for enough subjects, even small
11 differences in effects can be found to be statistically
12 significant, as is the case for many highly marketed
13 allopathic drugs.

14 Unlike other CAM modalities, homeopathy lends
15 itself well to conventional, randomized, placebo-
16 controlled trials, the RCT, which is the gold standard,
17 as we have heard. This is because homeopathy is
18 prescribed on sugar pills. However, the individualized
19 nature of homeopathic practice makes a strict application
20 of RCT methodology difficult. Unlike trials in
21 conventional medicine, all patients with the same
22 illnesses are not prescribed the same medicine in

1 homeopathy.

2 Several strategies have been used to
3 incorporate individualization into the RCT study design.
4 These include individualizing the treatment each subject
5 receives, and comparing the outcome of the homeopathic
6 group, as a whole, to placebo; limiting study subjects
7 only to those who fit a specific remedy picture; and
8 using combination remedies that contain the most common
9 medicines for a specific illness.

10 Another area of importance is outcome measures.
11 It is important that outcome measures reflect the
12 holistic nature of CAM practices. In conventional
13 medical research, often one or two specific parameters
14 are looked at, such as a blood pressure measurement or a
15 blood test. You do not really see the effect of the
16 intervention on the patient as a whole.

17 This can be solved by using already existing
18 symptom scores, such as the Kupperman Menopausal Index
19 for hot flashes. More globally, quality-of-life
20 indicators, such as the SF-36 Health Survey, can be used
21 to evaluate subjective feelings as well as limitations of
22 work, social activities, and general health status.

1 Other outcomes, such as days of missed school or work,
2 the cost of medical care, and patient satisfaction, are
3 all important in evaluating CAM modalities.

4 The use of placebo is an area of controversy in
5 CAM research, but it is necessary to establish efficacy,
6 especially for therapies such as homeopathy, which are
7 thought be some to be nothing but placebo. However,
8 there are ways to use placebo to minimize the ethical
9 difficulties. One is to limit the time of the study to
10 the shortest possible to measure a clinical effect and to
11 offer all patients the opportunity to receive active
12 treatment at the end of the study.

13 Once the efficacy of homeopathy is established
14 firmly, the use of placebo can be eliminated by
15 randomizing subjects to receive either conventional
16 medical care or homeopathy. This would give a more
17 accurate representation of what homeopathy has to offer
18 in actual clinical practice. Longitudinal studies of
19 homeopathic patients over several years, should document
20 the preventative as well as curative aspects of this
21 modality, as well as cost analysis.

22 There is now adequate evidence-based research

1 to justify the use of homeopathy in several conditions,
2 yet the belief structures of scientists continue to block
3 its widespread application. Many critics have stated
4 that no matter how many clinical trials are published,
5 they will never accept homeopathy until its mechanism of
6 action is understood. This, in my view, is foolhardy, as
7 there are many drugs in modern medicine which were used
8 for many years before their mechanism was understood.

9 It is also chauvinistic for us to think that we
10 understand everything there is to know about science at
11 this point in history. Homeopathy does work, most likely
12 on a subatomic or a subtle energy level that has not yet
13 been elucidated.

14 My first recommendation to the Commission is
15 that the government establish an initiative to find out
16 how homeopathy works. This could revolutionize our
17 understanding of health and disease, as well as open the
18 door to other new healing strategies.

19 Modern medicine is at an impasse; \$425 billion
20 per year are spent treating the six leading illnesses,
21 and costs continue to rise. Drug costs now exceed \$100
22 billion per year, and are predicted to reach nearly \$400

1 billion by 2010.

2 Recent studies have shown that adverse
3 reactions to allopathic drugs, used as directed, is the
4 fourth leading cause of death in hospitals. One survey
5 showed that more than half of all physicians said they
6 would not do it again, when asked about their decision to
7 go into medicine.

8 In the 1999 special issues on alternative
9 medicine, the editors of JAMA wrote: "There is no
10 alternative medicine. There is only scientifically
11 proven, evidence-based medicine, supported by solid data,
12 or unproven medicine for which evidence is lacking."

13 Homeopathy deserves the kind of careful,
14 unbiased evaluation that is necessary to establish its
15 legitimacy. The Commission should charge the government
16 agencies to thoroughly investigate homeopathy, so that it
17 can become available within the health care system to
18 anyone who wants it.

19 Well-funded research into clinical efficacy and
20 cost effectiveness should demonstrate that homeopathy is
21 a low-cost way to provide health care to a wide segment
22 of our population. It may also make them healthier.

1 Thank you.

2 DR. GORDON: Thank you very much.

3 Alex White.

4 **Presenter: B. Alex White, D.D.S., Dr.P.H.**

5 DR. WHITE: Good morning. My name is Alex
6 White, and I appreciate the invitation to come and talk
7 with you today.

8 I serve as the principal investigator of the
9 Oregon Center for Complementary and Alternative Medicine,
10 which is based in Portland, Oregon, part of Kaiser
11 Permanente. It is a collaboration of four complementary
12 and alternative medicine schools in Portland, as well as
13 the Oregon Health Sciences University.

14 What I would like to talk to you about this
15 morning are some of the experiences and learnings from
16 about two years together. Many of the recommendations
17 that I will talk with you about this morning are in your
18 packet, and some of the background materials as well.

19 I should give a bit of a disclaimer. I work
20 for Kaiser, but I am not representing Kaiser. I am
21 representing the Oregon Center, so my comments should be
22 taken in that context.

1 We are fortunate, actually, in Portland to be
2 able to have this institutional collaboration with the
3 College of Chiropractic Care, Oriental Medicine, Massage,
4 and Naturopathy, as well as the Oregon Health Sciences
5 University School of Medicine and Dentistry. Together
6 with those institutions and Kaiser at the Center for
7 Health Research, we put together a center grant that is
8 supported by the National Center for Complementary and
9 Alternative Medicine.

10 I would like to talk, generally, about three
11 areas: first, is research; the second is research
12 methods; and the third is infrastructure. I think some
13 of the themes I would like to talk about with you are
14 some themes that you have heard from the other speakers.
15 So that is a good thing.

16 I think the fundamental and most important
17 thing that we need to focus on is doing good science.
18 Without good science, places like Kaiser and other
19 payers, other practitioners, are not going to incorporate
20 CAM therapies into routine clinical care. That shouldn't
21 in and of itself be the goal of science, but it should
22 certainly be to improve the health and health care of our

1 members, and research is an important tool to do that.
2 But it has got to be based on good science.

3 We go through processes that use science and
4 make recommendations for additions to the formulary for
5 deciding what new technologies to cover. Those decisions
6 are based, among other things, on good science.
7 Certainly, politics and other things come into play in
8 that process, but science is the critical feature of each
9 of those processes. So we need to conduct good science
10 on those products and processes, looking at particular
11 diseases, particular conditions that need to be
12 addressed.

13 We also need to expand, I think, the research
14 to include factors that may influence that process. We
15 need to understand what it is about practitioners and
16 patient characteristics that influence good outcomes, to
17 understand what it is about theories and beliefs and
18 ideas about how things work that may or may not influence
19 the process and outcomes of care.

20 We also need good research on outcomes. I
21 think, as was highlighted by the other speakers, we need
22 measures of outcomes that are going to reflect what is

1 important to the patients who receive that care, as well
2 as to the practitioners who are providing that care.
3 They are broader than what are most used as clinical
4 measures of outcomes. I think clinical measures are an
5 important part of assessing CAM therapies, but we also
6 need to look at things like economics. We need to look
7 at psychosocial impact of CAM therapies on health beliefs
8 and, simply, quality of life.

9 We need research that is going to help us
10 integrate CAM therapies into health plans or into routine
11 and conventional medical care. For example, we need
12 research that is going to demonstrate product safety.
13 Not only is it important to demonstrate safety, but we
14 need to develop some standardization within natural
15 products. We need to look at good manufacturing
16 processes.

17 We have got efforts afoot at Kaiser to try to
18 identify products that we can include in our formulary,
19 and one of the problems that we run into continually is,
20 can we get good standardized products of high quality,
21 and it is very difficult to do that. So I think research
22 and efforts that can begin to introduce that information,

1 and the evidence is an important part for enhancing CAM
2 therapies.

3 We also need to think about research more
4 broadly than simply clinical research, and think about,
5 certainly, mechanistic and biobehavioral research, but as
6 well to think about health services research, to think
7 about cost and cost effectiveness, and to begin to look
8 at how CAM therapies can be integrated within
9 conventional care settings.

10 The second area I would like to talk about is
11 research development. While I agree with the other
12 speakers, that many of the methods that we need to
13 evaluate CAM therapies are in place now, there are, I
14 think, unique parts about CAM that we need to evaluate,
15 whether the current methods will be able to capture the
16 important features of CAM therapy.

17 For example, we have developed as part of our
18 CAM Center, this huge packet of questionnaires. We refer
19 to it as "death by questionnaire." The members who are
20 willing to participate literally spend hours filling out
21 questionnaires.

22 It is not that we are trying to kill them, but

1 what we are trying to do is to see if there are aspects
2 of individual members who are participating in our
3 studies that make them more or less receptive to CAM
4 therapies; not only does the CAM therapy work, but is
5 there something about the mindset that people bring to
6 clinical trials. Certainly, I think that is true in
7 conventional medicine, and perhaps even more important in
8 CAM therapy.

9 If we are asking a person to be randomized to a
10 trial where there is acupuncture, there is chiropractic
11 care, there is massage, and there is conventional care,
12 can we really believe that they are willing to be
13 randomized to each of those arms, and if not, do they
14 have inherent preferences about which one they would like
15 to be in; are there ways that we can design clinical
16 trials in the randomization process to account for
17 preferences in practitioner and patient beliefs about
18 certain therapies.

19 I think one of the important parts that we need
20 to work on in research development is understanding the
21 mechanisms of action of CAM therapies to get the bio
22 markers and other ways of assessing what it is that we

1 are doing to members, and how is it that they have come
2 to benefit from these therapies.

3 Finally, the infrastructure. As I mentioned
4 earlier, in Portland, we are very fortunate to have these
5 collaborations established, but I will tell you that it
6 has taken a lot of time and effort. There are people who
7 are participating with us that are following on the next
8 panel, and perhaps that will come up. I will tell you
9 that it is a major investment of time and effort.

10 There is lots of enthusiasm within the CAM
11 community in Portland to participate in our clinical
12 trials. The problem is that many of the folks don't have
13 the necessary research training and experience to do
14 that. Many of the institutions are geared toward
15 providing education and training clinicians, and not
16 conducting research.

17 So there is infrastructure, not only in
18 providing training and education for new CAM
19 practitioners, but also providing infrastructure and
20 training to institutions to allow them to participate in
21 NIH trials. When we began this process, many of
22 institutions had no idea what indirect costs were and how

1 you come up with a negotiated rate with HHS. For us, it
2 is the lifeblood of what we do, and that is just part of
3 our operating business. So I think there are some very
4 fundamental things that need to be established within the
5 CAM community to support CAM research.

6 Secondly, as I mentioned earlier, the training
7 of new researchers in the area, that is going to come up
8 in the next panel, but I think it is really important to
9 talk about it in the context of research as well. NCCAM
10 and other places at NIH support training grants for new
11 investigators. I also think it is important to think
12 about the role that CAM centers can play in supporting
13 and building a cadre of investigators who can either be
14 collaborators or turn into principal investigators.

15 At our center, we have made a significant
16 effort to try to include people who do not have doctoral
17 degrees, massage therapists, acupuncturists, and others,
18 who have come to appreciate research methods, who will
19 never be principal investigators on NIH-funded grants,
20 but who will understand the scientific process, who will
21 learn to ask important questions, who will begin to
22 evaluate their clinical practice in clinical ways, and

1 can collaborate with us in developing new protocols that
2 answer questions that are important to them.

3 Through that process of developing a cadre of
4 perhaps non-principal investigators, but certainly
5 investigators, we believe we can advance CAM science in
6 important ways because we can work with the community in
7 answering questions and addressing issues that are
8 important to that community.

9 The final point about infrastructure I would
10 like to make is that many of the CAM centers, I think,
11 have had success in developing these Phase 2 trials, or
12 trials that establish whether or not a CAM therapy is
13 efficacious, but as we begin to think about moving trials
14 into larger trials, I think the journalizability issue is
15 important. The CAM therapies are going to need to be
16 evaluated in multi-site clinical trials, looking at the
17 impact of culture, looking at the impact of geography and
18 preferences, in whether or not these interventions work.

19 That requires a substantial amount of
20 investment, and infrastructure beyond what we have in
21 place in Portland and other CAM centers, but
22 infrastructure on a national level that allows us to do

1 many of the trials that are supported, for example, by
2 NCI or NHLBI, that we are able to provide an
3 infrastructure to do a national study looking at chronic
4 pain and to have support to do that.

5 Let me just close by reiterating again that I
6 think the research in CAM and the evidence base for CAM
7 is less well developed than it is in other areas, and
8 that research is an important way, the only way, I think,
9 that we can advance CAM therapy. The objective, then, is
10 to assure the best possible science, and as Marcia Angell
11 said, adhering to the good scientific method and
12 developing hypotheses that can be tested.

13 I do think that there are some areas we need to
14 explore and understand, whether these research methods
15 can be applied directly to CAM, or whether we need to
16 tweak the methods to understand how it is and what it is
17 about CAM that we are trying to evaluate, and making sure
18 that we are not missing some important parts of CAM
19 therapy in improving the health of people who use CAM.
20 Thank you.

21 DR. GORDON: Thank you very much.

22 Bruce Rabin.

1 **Presenter: Bruce Rabin, M.D., Ph.D.**

2 DR. RABIN: I want to thank you for the
3 opportunity to address some issues regarding research
4 methodology in complementary and alternative medicine.

5 First, I would like to comment on a small,
6 uncontrolled, unscientific research effort at the
7 University of Pittsburgh Medical Center Health System
8 regarding terminology. As you all are aware, the terms
9 "complementary and alternative," and "complementary and
10 integrative" have, on occasion, elicited not very subtle
11 reactions, both pro and con, from the public and health
12 care workers.

13 Therefore, we conducted a consumer survey for
14 an indication of what patients seeing physicians in our
15 health system preferred our program to be called. As a
16 result of this survey, we call our program the Health
17 Enhancement Program. Our program, which is a top-down,
18 trustee-driven, approved program by the trustees of the
19 Health Center, will incorporate validated alternative
20 approaches and components of behavioral medicine, and
21 conduct research studies to determine the appropriateness
22 of modalities not yet validated.

1 I am a psychoneuroimmunologist, and I work with
2 the mind-body connection, the subject the panel was asked
3 to address. I study the effect of psychologic stress on
4 the brain, how the brain's response to stress alters the
5 function of the immune system, and how altered immune
6 function influences health. I will briefly comment on
7 three areas of research concerns related to mind-body
8 interactions: safety; standardization; and controls.

9 Safety. Mind-body interactions often promote
10 enhanced coping with stress. Massage is a modality
11 utilized for this. Massage is unlikely to cause harm
12 when applied by well-trained hands that have received the
13 appropriate certification from the appropriately
14 certified schools of massage.

15 Imagine feeling tired with some lower-back
16 pain, just the conditions that massage can help a patient
17 with. That is, unless the patient has multiple myeloma
18 with destructive bone lesions, and as a result of too
19 vigorous a massage, suffers a bone fracture. Certainly,
20 a technique can be safe. However, only if the subject
21 and the technique are properly matched. In the example,
22 they were not.

1 What if the same patient had sought a
2 therapeutic touch practitioner for their tiredness and
3 low-back pain. Certainly, there would be no concern of
4 fracture, only the concern of time lost before proper
5 treatment was provided. I cannot emphasize strongly
6 enough, due to the increasing numbers of patients seeking
7 non-standard approaches to health, that it is essential
8 to have proper medical evaluation before embarking on a
9 procedure that superficially may appear to be safe but
10 that may do harm or delay proper treatment.

11 How will research into the formulation and
12 implementation of guidelines for safety be established?
13 I suggest the answer lies in traditional and non-
14 traditional practitioners working together to merge their
15 specialized skills into a consumer safety program.
16 Formation of collaborative panels of experts is
17 encouraged to develop such guidelines.

18 Standardization. Many arguments take place
19 regarding the appropriateness of standardization of
20 alternative modalities. Alternative practitioners claim
21 their techniques cannot be standardized because different
22 patients require adaptation of the modality to the

1 characteristics of the particular patient.

2 Traditional practitioners desire
3 standardization within large-scale clinical trials to
4 determine the effectiveness of a procedure. Both
5 approaches are correct, indicating the complexity of the
6 subject. However, my concerns regarding standardization
7 addresses the recipient of the treatment, not the
8 treatment itself, and this was just alluded to by Dr.
9 White.

10 Applying the same modality to individuals of
11 different personalities and emotional characteristics may
12 yield different outcomes, as we do not know the
13 personality characteristics of someone who is likely to
14 experience a placebo effect.

15 Certainly, the mind-body health connection may
16 decrease the symptoms of disease because the patient is
17 made to feel better and tolerates their condition better.
18 However, we also need to determine whether having an
19 enhanced sense of well-being has an effect on the
20 pathologic basis of disease by altering the concentration
21 of stress hormones in tissue and plasma.

22 Cell trafficking patterns, adhesion molecule

1 concentrations, protein synthesis, and even stem cell
2 maturation, may be altered by effects on catecholamine
3 and glucocorticoid plasma concentrations as a result of
4 belief in an alternative modality a patient is
5 experiencing.

6 Meaningful research is not going to occur
7 through study of non-standardized approaches of
8 individual patients and anecdotal reports. Large studies
9 of standardized approaches in well-characterized patients
10 are needed.

11 Some of the characteristics of subjects we are
12 concerned about that may influence these sympathetic
13 nervous system and hypothalamic pituitary adrenal axis
14 responses to alternative interventions, and may
15 contribute to understanding why the interventions work
16 for some individuals and not others, are: Is the subject
17 high or low in optimism, anger, hostility, a sense of
18 humor, perceived stress, social support, religiosity or
19 spiritualism, and physical fitness; has the subject tried
20 and been disappointed in traditional medical care; is the
21 subject high or low in their opinion of CAM; does the
22 patient have a good relationship with their physician, or

1 have they been dissatisfied with their physician; does
2 the subject practice meditation or yoga; does the subject
3 expect the procedure to work.

4 Knowing what works and in who it works is
5 essential. A patient requesting acupuncture who has
6 characteristics that are found, through proper research,
7 unlikely to be associated with a therapeutic response,
8 can be directed to a therapy more likely to provide a
9 therapeutic response, thereby saving time and money for
10 both the patient and health care practitioner.

11 For example, would research find that a subject
12 who was high in hostility, low in optimism, low in
13 expectations of a possible outcome from a procedure,
14 sedentary, and lacking a sense of humor, be a likely
15 responder to acupuncture.

16 Controls. Whenever possible, control groups
17 should be studied to provide a means of evaluating CAM
18 treatments, alone or in combination with conventional
19 treatments, and compared to standard treatments or to no
20 treatment.

21 Certainly, blinding a practitioner or a subject
22 to whether or not actual massage or acupuncture was being

1 applied is difficult. However, in the area of mind-body
2 interventions, institutional review board requirements
3 are also a problem.

4 For example, assume that a study is being
5 performed of the effects of a stress-coping program on
6 the progression of MRI-documented plaque size in the
7 central nervous system of patients with multiple
8 sclerosis. Patients are recruited to the study and sign
9 an informed consent appropriately indicating that they
10 will either receive the intervention or be randomized to
11 a weightless control group.

12 However, the control group obviously knows that
13 they are a control group, and that if the intervention
14 works, they will be able to participate once the study is
15 completed. This expectation and knowing that they are
16 part of a study may produce a positive placebo effect.

17 The proper control would be patients who did
18 not know that they were part of a study, believing the
19 MRI was being done as part of routine management.
20 However, that could be deceptive and not allowed.

21 Thus, proper research studies of the influence
22 of mind-body medicine on alteration of the course of

1 disease may require a modification of IRB criteria or the
2 design of innovative clinical trials that are developed
3 by meaningful collaboration between alternative
4 practitioners and well-trained clinical trial
5 interventionists.

6 In conclusion, in the absence of strong,
7 empirical data to support many CAM interventions, it is
8 important for CAM clinics and practitioners to put a
9 mechanism in place to systematically obtain baseline and
10 objective outcome data on the clinical condition of the
11 patients they treat. Subjective outcomes, without
12 knowing that the course of a disease has been modified,
13 will not help to end to the differences between
14 traditional and non-traditional practitioners.

15 Detailed assessment of the patient's course of
16 disease, including changes in symptoms, objective disease
17 measures, and laboratory parameters, should be monitored
18 and recorded in accord with guidelines that will allow
19 the data to be published in peer-reviewed journals.

20 As I have stated, collaboration between
21 traditional and complementary practitioners is needed to
22 enhance the safety, standardization, and use of proper

1 controls for studies to establish the appropriateness of
2 integration of CAM into conventional health care.

3 CAM practices have a past, present, and future.
4 The health care industry, the academic community, and CAM
5 practitioners in and out of the academic world, should
6 advocate for and pursue advances in the practices of
7 scientific and humanistic medicine. This will occur
8 through open communication and mutual respect.

9 At present, the public both demands access to
10 and frequently utilizes CAM treatments. These treatments
11 appear to offer something that patients want and
12 presumably may not be receiving from mainstream medicine.
13 Both patients and CAM practitioners believe that the good
14 in these treatments usually clearly outweighs their
15 potential for harm.

16 The challenge is, therefore, to offer such
17 treatments to patients while carefully monitoring their
18 use, subjecting CAM treatments to empirical study, using
19 accepted methods of scientific inquiry, and making every
20 effort not to mislead patients or the public. Thank you.

21 **Panel Discussion**

22 DR. GORDON: Thank you all very much. I really

1 appreciate the thoughtfulness of testimony.

2 One of the things I thought we might do is send
3 you all copies of each other's testimony, plus a couple
4 of papers that we have from Andrew Vickers at Memorial
5 Sloan-Kettering that might be interesting on the same
6 subject, because we really feel this is an ongoing
7 dialogue.

8 I also just wanted to thank you, John Chabot,
9 personally for your work with the Gonzalez trial, and
10 also with a patient of mine who consulted you. I just
11 wanted to say that publicly.

12 DR. CHABOT: Thank you.

13 DR. GORDON: Questions. Tieraona first, then
14 Dean, and then Wayne.

15 DR. LOW DOG: It is hard to know who to ask
16 questions because you were all so good. Anybody who
17 would like to answer, but perhaps Dr. Angell.

18 Thirty years ago, we were confronted with a
19 similar sort of situation with OTC remedies when,
20 basically, we had no randomized trials. There was very
21 little evidence of benefit, yet all these products were
22 out on the market. They got a group of experts together

1 to try to sift through if there was a reasonable
2 certainty of safety or a reasonable certainty of
3 efficacy.

4 Keeping in mind that these are for minor self-
5 limiting conditions -- I'm not talking about heart
6 failure or things like that -- under the current
7 situation that we have with DSHEA, would you be in
8 support of a group such as the National Academy of
9 Science or another group, an expert group, trying to set
10 up a panel that would look at things that seem to have a
11 reasonable degree of safety or a reasonable degree of
12 efficacy for minor conditions, which, many of these
13 supplements are used for? Do you think that that would
14 be a step in the right direction, or not?

15 DR. ANGELL: Well, as some of the speakers said
16 yesterday, I think the major problem is DSHEA itself and
17 the herbal remedies, the dietary supplements, the so-
18 called dietary supplements, should be subjected to the
19 same standard as any drug. That is, the manufacturers
20 should be required to show safety and efficacy before
21 they are marketed, and not afterwards.

22 As for panels, if a panel gets together, an

1 expert panel, and looks at the scientific evidence that
2 is out there, I am all in favor of that, of sifting
3 through it, but panels tend to take on a life of their
4 own and go beyond the evidence that is out there, and
5 then it becomes ex-cathedral pronouncements, and they are
6 of no particular value. You can't reach a conclusion
7 without the evidence.

8 DR. LOW DOG: I guess my problem always comes
9 to things such as chamomile tea, which you can get at the
10 village in or an restaurant. We had it last night.

11 At what point do all things have to be tested
12 for safety and efficacy, when some of them are not foods
13 but they are clearly not the potent medications which so
14 many companies do sell?

15 DR. ANGELL: What is the medicine and what is
16 the food, you are saying. Obviously, that is going to be
17 a fairly fuzzy boundary. I think the first question is,
18 is the substance in the thing that is labeled as having
19 the substance in it. So there are two different
20 questions. There is standardization. There are
21 standards of purity and concentration and so forth, and
22 there is effectiveness.

1 If the chamomile tea really is chamomile tea,
2 it would depend on what evidence is out there -- and I
3 don't know -- about any adverse reactions and so forth,
4 but you can get silly about this. I agree with you. You
5 have to draw the line in a sensible place.

6 DR. GORDON: Let me just go through the list
7 and make sure I have everybody. I have Dean, Wayne,
8 Ming, Joe Pizzorno, George DeVries, and George Bernier,
9 and Joe Fins.

10 Dean.

11 DR. ORNISH: I also want to thank the panel for
12 its very eloquent testimony, and to say that I think many
13 of us are of like mind, but I also have questions because
14 one of our charges is to make policy recommendations. We
15 hear from a number of people that there should be an
16 increase in funding or there should be an increase in
17 research. So this first question is directed to Dr.
18 Angell.

19 Do you support an increase in funding for the
20 National Center for Complementary and Alternative
21 Medicine?

22 DR. ANGELL: I have a problem with that center

1 to begin with, because I don't believe that there should
2 be two kinds of medicine. I think that CAM practices for
3 which there is preliminary evidence, a lot of anecdotes,
4 well-documented anecdotes, or CAM practices that are
5 already in widespread use, need to be studied. That you
6 need a new center to do it, I think, is arguable, and I
7 would argue against that.

8 The current institutes can study any kind of
9 treatment they want for any kind of scientific question
10 they want. And so, if the hypothesis is that homeopathy
11 is good for heart disease or whatever, being more
12 specific than that, then I would think that it would be
13 studied within the National Institute of Heart, Lung, and
14 Blood.

15 So I think that this increases the separateness
16 of it. It is as though there were two kinds of medicine,
17 which I argued against in my statement. Instead, there
18 ought to be medicine that has been tested, and medicine
19 that hasn't, and it ought to be all in there together.

20 DR. ORNISH: I have quoted your statement many
21 times, because I agree with it in theory, but in
22 practice, if that were true, then those studies would

1 already be out there. We have heard from a number of
2 people, almost as a refrain, that in the conventional
3 institutes, the NHLBI or whomever, at least certainly in
4 the past, it has been very difficult to get studies
5 funded that are not within the conventional paradigm, and
6 for that matter difficult to get them published in
7 leading journals like the New England Journal and others,
8 or that they are held to a different standard.

9 De facto, if it were possible to get these
10 studies funded at the conventional institutes, there
11 would be a much broader literature already out there.
12 People say, well, these interventions don't have any
13 science, but then it is very hard to get these studies
14 funded.

15 DR. JACOBS: Can I just make a comment as a
16 researcher in the field? And that is, the whole area of
17 research and funding changed dramatically with the
18 establishment of what was then the Office of Alternative
19 Medicine.

20 Before, when I was knocking on the doors at the
21 University, people thought I was some kind of lunatic.
22 Once the OAM was established and there were calls for

1 paper and there were funds available, all of a sudden,
2 people were willing to collaborate and to take it
3 seriously.

4 I agree with you that none of the research that
5 we have in the past, and very little of it in the past 10
6 years, would exist if it hadn't been for the NIH office.
7 I think it is very important that it grow, because even
8 with the small amount of funding it has now and so many
9 different modalities, a good homeopathic research study
10 in otitis media, which is one of the areas that it is
11 reputed to be good for, we have estimated would cost \$3-
12 to \$5 million. That is maybe a tenth of the budget of
13 the whole NIH centers. So clearly, we need more funding.

14 DR. ANGELL: The way research is funded and the
15 attention that different things get has to do with a lot
16 of factors, one of which is the public concern about the
17 ailment or the treatment. You could name almost any
18 disease or any treatment that somebody would argue had
19 been underfunded at some time. For a while, people
20 thought that breast cancer was underfunded. Now people
21 argue that prostate cancer is relatively underfunded.

22 So that there is always a group that would like

1 to see more money put into something. The problem with
2 talking about CAM in general, as I said at the outset, it
3 is so disparate, and not everything can be funded. There
4 is usually some prior probability that it might work.

5 One would say that the claims of a large study
6 of St. John's wort are very much higher than the claims
7 of a large study of therapeutic touch. So, which of
8 those things are you talking about?

9 You can always complain that something is being
10 underfunded. Scientific knowledge changes. So that, for
11 a while, everything is going into AIDS because it is,
12 scientifically, so interesting, and then it switches.

13 I don't see why one should bundle all of the
14 CAM practices together and say it should be treated
15 separately and specially.

16 DR. RABIN: The problem is not the institutes;
17 the problem is the reviewers in the process. For those
18 with, that I am sure many of us had, years of experience
19 on study sections, there are biases which come into the
20 review process.

21 To make a level playing field, this institute
22 was created, but once the playing field is level and

1 there is fair review and qualified reviewers of CAM
2 procedure on study sections, or study sections set up for
3 this within the existing institutes, then this may not be
4 a problem, but I think right now, there certainly is a
5 bias. We dealt with that in psychoneuroimmunology for
6 many years, until we were able to get adequate reviewers
7 on study sections.

8 DR. ORNISH: Just one follow-up question.

9 DR. GORDON: Quick, because we have a lot of
10 other people.

11 DR. ORNISH: Then, why don't we come back if
12 there is time.

13 DR. GORDON: Okay, great. Thank you.

14 Wayne.

15 DR. JONAS: How many questions?

16 DR. GORDON: One.

17 DR. JONAS: One question?

18 DR. GORDON: One per person.

19 DR. JONAS: All right, one per person.

20 I want to thank the panel. I thought this was
21 an excellent series of presentations. I thought it was
22 very consistent with the previous presentations that we

1 had, and I am supposed to be heading up one of the
2 discussion groups on research methodology a little later
3 in the day, and from what I can tell, it is going to be
4 extremely easy because there appears to be a consensus
5 that we have good research methods that now exist, that
6 we want to use good research methods, and we just need to
7 start applying them to these areas and letting science
8 take its course.

9 I want to especially thank Dr. White for
10 pointing out the variety of research methods that exist
11 for different purposes and that are good. I think it is
12 important that we look at and use all those research
13 methods for those different purposes.

14 Let me mention that there was a third
15 methodology conference that was held, you may not have
16 known about, that may be of interest on histomology,
17 which did not get published at the OAM.

18 Which question to pick.

19 DR. GORDON: Like Gertrude Stein, right?

20 DR. JONAS: Yes, that's right.

21 The only area that I don't think, really, has
22 been addressed is the plausibility issue, and Dr. Angell,

1 you addressed that. I was pleasantly surprised and
2 pleased to see that you were willing to say, if there is
3 no plausibility but if it is largely used by the public,
4 we probably need to investigate it in some way. I think
5 we are coming even closer on that issue, and could
6 perhaps set up some criteria to decide when those
7 conditions exist.

8 I would like to ask Dr. Chabot why a
9 randomized, controlled trial was decided on in the first
10 place. When I was getting my evidence-based medicine
11 training up at McMaster University, and Dr. Sackett was
12 up there saying, this is what you do, and this when you
13 do a randomized, controlled trial, et cetera, the example
14 they always used, when you do not need a randomized,
15 controlled trial, was pancreatic cancer. I taught that
16 for years to my students. Yet, when we actually have the
17 opportunity to do an integrative therapy for pancreatic
18 cancer, that is the first thing that is attempted.

19 I am just wondering what the background, and
20 why was it that that was selected as something that
21 needed to be done at the time.

22 DR. CHABOT: I think the best answer to that

1 goes back to your first comment, plausibility. When you
2 look into the treatment, identifying physiologic
3 relationships that should allow this treatment to be
4 explained, I can't come up with a mechanism.

5 So we then have to go back to, how can we be
6 absolutely sure we are going to come to a conclusion
7 based strictly on empiricism that this works, and I think
8 the best trial designed to do that is the trial design
9 that eliminates all bias. A randomized trial is
10 certainly the easiest way to do that, and the way that is
11 most unimpeachable when you present the data.

12 In thinking about presenting this information,
13 once we have collected the data, there are ardent
14 supporters and vigorous antagonists to this treatment in
15 particular. My hope was to develop absolutely
16 unimpeachable data to bring both of those camps together
17 and say, yes, this is the answer. A randomized trial is
18 the way to do that. As we went forward, it became quite
19 clear that we were not going to come up with any data if
20 we insisted on that design.

21 The new design will be attacked when the data
22 becomes available, and there will then still be ongoing

1 discussion: Well, I can't accept it because of this;
2 because the control group wasn't quite right; because
3 this patient didn't match that patient quite perfectly;
4 because we disagree on what the stratification should be.
5 In order to get the trial done, I think we have to accept
6 that.

7 DR. JONAS: It was really patient preferences
8 that didn't allow you to do the controlled trial, right?
9 They just wouldn't be randomized into it.

10 DR. CHABOT: Correct.

11 DR. JONAS: My understanding from the case you
12 presented is that it looks like it doesn't actually cure
13 the cancer in any case. The cancer was still there, and
14 just something else was going on that allowed these
15 people to live longer. Is that correct?

16 DR. CHABOT: That particular individual,
17 certainly. The other explanation for this phenomenon is
18 selection bias, which, it is very clear in running this
19 trial now for a couple of years, that this patient
20 population is different, and therefore the control group
21 we select has to be selected very, very carefully.
22 Again, randomizing is the easiest way to do that, but

1 hopefully not the only way.

2 DR. JONAS: [Off mike] -- that it was belief
3 and plausibility that prevented this trial, because I
4 looked at this data from Nicholas Gonzalez when I first
5 came to the OAM, actually, and also was impressed that
6 there was something there and it ought to be looked at.
7 I was severely resisted at the NIH until there was some
8 gentle congressional influence, which then, finally
9 motivated the NCI to fund it.

10 Whether that turns out to be worthwhile or not,
11 who knows, but I just thought I would illustrate that for
12 the Commission.

13 DR. GORDON: Ming.

14 DR. TIAN: A question for Dr. Angell.

15 Thank you very much for your presentation. You
16 mentioned that methodology, you think there must be one
17 standard. I just wanted to share information with you
18 about NIH research on acupuncture. In 1974, NIH did fund
19 an acupuncture study using double-blind study to evaluate
20 the efficacy of lower-back pain. Unfortunately, after a
21 three- or four-year study, the conclusion was that in the
22 control group it was 45 percent is effective, and in the

1 treated group, it was 55.

2 So the conclusion was acupuncture was not
3 effective, it was placebo. That was pure, scientific
4 design, until 1997, which, it was sponsored by Dr. Jonas
5 -- the second meeting was November -- that they then
6 reviewed all the data and noticed that methodology is
7 quite important. Now acupuncture is positively accepted
8 because of a lot of scientific evidence to show it works.

9 My question for you is, do you think we should
10 develop methodology for CAM? For instance, like
11 acupuncture, there are no such sham points. Is that
12 necessary to develop the methodology?

13 DR. ANGELL: I'm not sure I understand the
14 question. You are saying that there are no acupuncture
15 points that are not valid, according to the theory of
16 acupuncture?

17 DR. TIAN: No. My question is, if we use
18 traditional methodology to evaluate acupuncture, we might
19 fail, so we have to be very careful to at least use a
20 newly developed methodology and have a well-controlled
21 group to study.

22 DR. ANGELL: I can't speak to the details of

1 this because I am not quite sure about the study that you
2 are talking or what you are alluding to, but when I said
3 the same methodology, what I meant was the application of
4 the scientific method, to have a hypothesis, that this
5 will, in this condition, do this to; to have verifiable
6 outcome measures; to collect verifiable data; to draw the
7 conclusions based on the data.

8 Within that overall requirement for the
9 application of the scientific method, of course there
10 will be differences in the details of the methodology,
11 depending on the question that you are asking and the
12 modality that you are testing. There has to be.

13 In something like, I gave the example of, fetal
14 stem transplantation for Parkinson's disease, there is a
15 lot of trouble knowing what the control group should be,
16 what the sham procedure should be, whether it is ethical,
17 for one thing, to do a sham procedure.

18 So there can be difficulties, but I don't think
19 they are unique in CAM. I am not persuaded that they are
20 unique.

21 DR. GORDON: Thank you.

22 Joe.

1 DR. PIZZORNO: Dr. Angell, you present an
2 interesting challenge, because on the one hand, I agree
3 with most of what you have to say and believe that
4 science is indeed the pathway, not so much that we prove
5 our efficacy, but by which the practice of medicine gets
6 better.

7 On the other hand, we seem to live in very
8 different worlds, and I hear some perspectives from you
9 of CAM being simply modalities or therapies, which is a
10 gross simplification. I also hear your perspective about
11 what conventional medicine is that is not, apparently,
12 shared by most of the patients out there who are choosing
13 to go to CAM professionals.

14 I think one of the big challenges here is that
15 what really differentiates natural medicine from
16 conventional medicine is more of a philosophical approach
17 to our patients, how we think and interact with each
18 other. That, I think, needs to be tested, and I think
19 that that different approach to the patient, which is
20 much more patient-centric than disease-centric, is why
21 patients come to see us.

22 Is there some way we could design a study to

1 look at that very factor, not the theories, because, like
2 you say, there is lots of research that can be done
3 there, but rather the different experience that patients
4 have going to conventional versus CAM providers, and
5 learn from that about ways in which we can improve
6 medicine?

7 DR. ANGELL: Well, if that is a general area
8 that you would like to study, then you have to develop a
9 hypothesis that can be tested, and design a trial that
10 will test it, and collect verifiable data, and draw the
11 conclusions, and only those conclusions, that flow from
12 the data, just as you would if you were testing anything
13 else, but you have to give me, not a vague hypothesis,
14 but a specific hypothesis that can be tested.

15 DR. GORDON: Alex White, and also Bruce Rabin,
16 you addressed this some in your testimony, so we would
17 welcome hearing from you.

18 DR. WHITE: At the Oregon Center of
19 Complementary and Alternative Medicine, we don't get at
20 this directly, but I do think we get at some of the
21 issues that you have highlighted.

22 Developing an acupuncture protocol was actually

1 very difficult because many of the people with whom we
2 are working, when we ask them to develop a standardized
3 protocol that can be used in a clinical trial, it is
4 incomprehensible. It is not something that they are used
5 to doing. It is an individualized treatment approach.
6 The acupuncture points are modified based on patient
7 response and examination, and if we ask them to identify
8 20 points that can be used in a trial: Why would I do
9 that, because it doesn't make sense; My colleagues
10 wouldn't believe it.

11 What we have tried to do in designing our trial
12 is to provide some flexibility in our studies, with some
13 core acupuncture points, but allowing there to be
14 individualized within the context of the clinical trial.

15 In particular, one of our studies is actually
16 evaluating more of the system approach. We have a trial
17 that looks at traditional Chinese medicine and
18 naturopathy for women who have multiple medical problems
19 and chronic facial pain. We haven't specified that X,Y,
20 and Z happens within the context of that trial. We would
21 rather randomly assign these women to these particular
22 intervention arms.

1 Within the context, we know what happens and we
2 have tried to put some balance on it, but it generally is
3 evaluating the system of care rather than a particular
4 intervention.

5 The hypothesis is different because the
6 hypothesis then becomes not whether acupuncture point
7 X,Y, or Z is the right point, but rather, is the system
8 approach and the holistic approach important. That is
9 what we are testing, essentially, rather than the
10 individual components that may make up traditional
11 Chinese medicine or naturopathy.

12 DR. RABIN: I wonder if part of the study which
13 you are suggesting, looking at interaction between
14 patient and practitioner, wasn't already done
15 historically.

16 There was a time when the patient went to the
17 physician. The physician knew about the family, the
18 personal problems, the work problems, the stressors in
19 their lives, and that interaction had, possibly, a
20 positive effect on health, and that is gone. The time is
21 gone where practitioners can get to know the patients
22 like that.

1 I think history would say that you are correct,
2 that reestablishment of that relationship -- and a lot of
3 what you are doing and the beneficial effect of what you
4 are doing, is reestablishing that relationship -- it
5 would be extremely important to take a look at it again.
6 If it indeed is shown through the proper scientific
7 studies to be important, to try and reestablish that.

8 DR. GORDON: Joe Fins is next -- no, I'm sorry,
9 George is next.

10 DR. BERNIER: Thank you, sir.

11 DR. GORDON: Thank you. I stand corrected.

12 DR. BERNIER: I have a question for Dr. Jacobs.

13 In the minds of many, evidenced here today and
14 in a lot of the discussion that has happened over the
15 last year, many people believe that it will be the
16 clinical trial, one way or another, that provides the
17 answer for a lot of the issues that are being discussed.

18 In your statement, you indicate that an
19 important tenet of homeopathy is individualization,
20 whereby each person is treated with a specific remedy
21 which fits his or her unique pattern of physical,
22 emotional, and mental symptoms. For this reason,

1 different people with the same diagnosis may receive one
2 or several different homeopathic remedies. The
3 implication is that it can never be tested by the
4 conventional clinical trial way.

5 It makes me think of Dr. Bernie Fisher, who,
6 back in the 60s and 70s was able to start a program,
7 whereby surgeons, present company excepted, at that time,
8 weren't terribly interested in finding out what would
9 happen to a population of 100 people. They were just
10 focusing on one.

11 That is a long-winded question. I guess what I
12 want to ask is, looking down the road, can you see really
13 valid clinical trials being conducted on a regular basis
14 to test homeopathy?

15 DR. JACOBS: Well, actually, there have been
16 many clinical trials that I believe are valid that have
17 tested homeopathy. There are several ways to do this. I
18 actually mentioned these in my comments, but perhaps I
19 was talking fast. The studies that I have done have all
20 been RCTs, where patients are randomized to either
21 receive a homeopathic medicine or a placebo.

22 So we are testing the patients as a whole who

1 received homeopathy, even though they may have received
2 different individualized medicines, versus the patients
3 who received the placebo. This, of course, has been
4 criticized. In some circles it is seen as what they call
5 the "black box effect," whereas, you put people through a
6 certain system and compare that to placebo.

7 There are other ways that the RCT has been
8 adapted to homeopathy. One is by selecting only patients
9 who fit a specific homeopathic remedy picture for a
10 specific disease. There is a study of fibrositis that
11 was done in England in the late 1980s, where only
12 patients were prescreened to see which ones fit the
13 remedy picture for Rus Tox, which is a homeopathic remedy
14 which is commonly given for arthritic and rheumatic
15 conditions.

16 And so, only those patients were enrolled in
17 the trial, and those patients then were compared to
18 placebo.

19 And then, the third approach is to use
20 homeopathic combination remedies, which are those that
21 are sold over the counter. You can buy them in health
22 food stores today, teething tablets, homeopathic cold

1 medicines, where they have the five or six most common
2 homeopathic medicines for a particular illness.

3 In our diarrhea research, we found that 80
4 percent of the children had only five remedies that were
5 indicated, five different remedies were indicated in 80
6 percent of the children. Theoretically, a combination
7 medicine with those five medicines should help 80 percent
8 of people. So a trial could be done of that combination
9 versus placebo.

10 I think this is true for other areas of CAM
11 research, too. There are ways to use individualization
12 and still stay true to the RCT methodology. I think it
13 is very important because it is the gold standard that we
14 do this.

15 DR. GORDON: Please, John.

16 DR. CHABOT: May I make a very brief comment?
17 Dr. Bernier's allusion to surgical research opens the
18 opportunity here.

19 I think, in many ways, the history of surgical
20 evolution and research is somewhat similar to the current
21 dilemma in CAM research, in that, the surgeon-patient
22 relationship is very, very individual. The intervention

1 is considered massive. The thought of randomizing
2 between an operation and medicine, most patients will
3 usually favor taking a pill rather than undergoing an
4 operation.

5 But thinking about current surgery for breast
6 cancer is the ideal example. There were strong advocates
7 of mutilating operations in the past who felt fervently
8 that the right operation to do was a radical mastectomy
9 for breast cancer, and the only operation to do. It is
10 only through long years of well-designed and implemented
11 clinical trials that most women now undergo breast
12 conservation surgery for breast cancer.

13 Very difficult to do; it took a long time, and
14 it was only through good science that we have achieved
15 the state where amputations for breast cancer are not
16 done.

17 DR. GORDON: Thank you.

18 Joe Fins. We are already over time, and I want
19 to do our best to give the three people who haven't had a
20 chance to ask questions the chance. So, Joe, please.

21 DR. FINS: Dr. Angell, and the panel, thank you
22 all for your comments. I want to follow up Dean's

1 question to its logic or illogical conclusion.

2 When he asked whether you would want to
3 increase funding for the NCCAM, you said no, but based on
4 what you have said, if there would good hypotheses that
5 were worthy of extramural funding, you would say that
6 would be appropriate.

7 I think there is an implicit statement or idea
8 in what you said about the value of integrating these
9 studies in the other institutes because it would foster
10 integration, but at the same time, there are biases in
11 the other institutes against these kinds of hypotheses
12 and some of the questions that are out of the Cunian
13 paradigm that they are used to living in.

14 So in an ideal world, we would have it
15 dispersed through all the institutes, maybe a quota
16 system of certain kinds of funding. But, practically,
17 how do we get there from here? If the term is not
18 "complementary and alternative," but it is "integrative
19 medicine," how do we have an integrative research system
20 on the federal level, and maybe seeing NCCAM as a
21 transition item into a more integrative approach? How do
22 we get there from here?

1 DR. ANGELL: Well, it may, in fact, turn out to
2 be a transition, although any organization to become
3 dedicated to its survival. So that, I am not so sure
4 that it, in fact, would play out to be a transition.

5 Here again, the implication of your question is
6 that CAM is all one thing. I think there is often
7 resistance to a new type of treatment that is proposed.
8 We just heard about lumpectomy versus mastectomy;
9 tremendous resistance, but as the science comes out --
10 and it may be very slow at first, and there may be biases
11 that have to be overcome -- the successes will make the
12 next one easier, and the next one will be easier. That
13 is just the way clinical science progresses. You can't
14 do all overnight.

15 Some of the CAM treatments, and I think the
16 herbal remedies are the most promising, will turn out to
17 work, to be confirmed in scientific testing, and will be
18 incorporated within standard medicine. Others will die,
19 and should die.

20 So I don't want to see it marginalized by being
21 lumped together. I want to see it treated on its merits.

22 DR. FINS: The implication here is that

1 separate is not equal, and that the maturation of the
2 field will be when investigators, who maybe today are
3 considered CAM investigators, are applying to the other
4 institutes for funding and they are viable.

5 DR. GORDON: One thing I want to point out that
6 Steve reminded me of, is that there is considerable and
7 increasing cofunding of some of these studies by NCCAM
8 and some of the other institutes. So I think that is an
9 important development.

10 DR. WHITE: I would just add to that that it is
11 not only the support of research itself, but it is
12 support of education and training, and creating a cadre
13 of CAM researchers, which I think is important. It is a
14 sort of Catch-22. I think the ability of other
15 institutes to take risks on funding CAM -- "risks" might
16 not be the right word, but my sense is that some
17 directors would say that it is a risk -- of funding CAM
18 therapies is because there is not good science.

19 Well, you are not going to get good science
20 until you get the funding, so which do you do first, to
21 the extent to which good science, then, will lead other
22 institutes to feel like it is less risky, that there is a

1 clear methodological basis for these therapies. I think
2 that NCCAM goes beyond simply funding research, that the
3 infrastructure piece is the education and training,
4 developing a cadre, developing a research agenda in CAM.

5 I certainly agree that CAM is not a uniform
6 therapy, but there are things go beyond simply funding
7 RO-1s.

8 DR. ANGELL: Except that advocacy should not
9 get ahead of the science.

10 DR. WHITE: I would agree with that.

11 DR. GORDON: Bill.

12 DR. FAIR: I, too, would like to thank the
13 panel. Having, until recently, spent 16 years at
14 Memorial Sloan-Kettering, I know Nick very well and was
15 impressed by the tremendous practice that he had. I also
16 had the opportunity to review his manuscript and
17 submission to a journal, not the one it was subsequently
18 published in, and the problems that we had were several,
19 and I wanted to know whether you had surmounted these
20 problems.

21 First of all, was, out of this huge practice,
22 he had 11 patients that he reported on. Quite honestly,

1 we could never get the denominator of the patients that
2 he saw.

3 Secondly, the Kanusky Performance Status was
4 not listed adequately. Across the board, almost any
5 clinical study in oncology, as you well know, the best
6 predictor of response, is going to be the Kanusky
7 Performance Status going into the study. That is almost
8 axiomatic.

9 The third was, he was using his hair analysis
10 to alter the treatment. I think that that was pretty
11 much put to rest. You can't judge the effect of cancer
12 therapy by hair analysis.

13 So my questions were, have you been able to
14 sort this out? As a surgeon, I would like to say one
15 other thing to support what you said. It has often been
16 said that had we waited until all the mechanisms and the
17 responses and what flavored it and what inhibited the
18 immune response before doing a transplant, we would still
19 be waiting to do the first kidney transplant.

20 So I think this goes along with what Dr. Angell
21 said, sometimes you don't have perfection when you do
22 things. Typical surgical analysis: Just don't stand

1 there, do something. So I think that sometimes we have
2 to do these studies, even if we don't have what would fit
3 the perfect statistical study, and obviously that is what
4 you are doing there.

5 DR. CHABOT: First, let me address the hair
6 analysis. It has no role in the clinical trial. It has
7 been demonstrated not to be effective. It was never part
8 of the clinical trial and has no role in guiding therapy.

9 I do not know the denominator for those 11
10 patients. The two answers to this, the 17-month median
11 in 11 patients, it is either selection bias, which is a
12 very real possibility, or it works. There aren't very
13 many other plausible answers to this question.

14 I think that my review of the data convinces me
15 that they were real patients, they had the correct
16 disease, they had the correct stage of disease, they were
17 followed carefully and the data are good data. But
18 selection bias is a very real possibility and I think
19 that is the concern that you raise.

20 To try to go back and answer that question,
21 look at that pilot trial and think about how many
22 patients did 11 come from, I think we would still be

1 arguing about this, whether it is real or not. So we
2 just designed a trial to answer that question. That very
3 specific hypothesis really is, is this selection bias, or
4 is this a real phenomenon.

5 DR. FAIR: We were just asking, okay, Nick, in
6 the course of a year, how many patients did you see with
7 pancreatic cancer; you have been doing this for X number
8 of years, so X times X should give us the denominator,
9 and it was not possible to get that information.

10 DR. CHABOT: Today, Nick is not seeing any
11 patients with pancreatic cancer. He is referring all of
12 them to the trial, and we are screening them all. If
13 they don't meet our screening criteria and enter the
14 trial, then they are free to see Nick independently, but
15 Nick will not see patients with pancreatic cancer without
16 referring them to the trial.

17 I do believe completely that he is following
18 that course of action.

19 DR. GORDON: I just want to say something to
20 everybody. We are already 10 minutes over. One of the
21 things that we might do is begin the small groups during
22 lunch time, if that is all right.

1 No, not all right? I'm sorry?

2 Okay. So not as good to do that. So let's
3 just have the last two questions, and then we will move
4 on. Effie next.

5 DR. CHOW: I want to thank the panel, also. I
6 have some comments, and I would like some comments from
7 the panel. We don't like the term "CAM" either. It is a
8 dilemma within itself, but using CAM, the dimensions that
9 is very different, maybe you folks can reduce that
10 difference, is that many of the therapies utilize
11 energetic concepts, prahna, manna, toth [ph], chi, qi,
12 whatever, and goes back to Xioming's comment, there are
13 no sham points in acupuncture. In touch, there is no
14 sham touch, either.

15 I think your psychoneuroimmunology is kind of
16 related to that very closely, and perhaps you could help
17 us with this. How do we then control the studies? For
18 example, in distant healing, we haven't mentioned distant
19 healing. There is a great interest in that now, and it
20 is very effective; spirituality.

21 The thought is that once you think it, it is
22 so. So, how do you measure that? Dr. Samuel Rosen, the

1 famous audio-larynologist did a research on acupuncture.
2 He used deep acupuncture for real acupuncture, and
3 superficial acupuncture for a sham. There, he lost his
4 control already, if we knew what acupuncture was, really.

5 Yet, they got results with the group. They
6 could talk behind them and they could hear, but their
7 audiograms didn't show any change. So, what about
8 measurement tools, et cetera? I throw this out. It is a
9 real dilemma for us.

10 DR. RABIN: It is a very important question,
11 and, I think if we look at Michael Meaney's work, a
12 question that begins in utero. Certainly, we know from
13 non-human primate studies that if a pregnant monkey is
14 undergoing high levels of stress, that the personality
15 characteristics, the response of the offspring, the
16 immune system function, is permanently changed.

17 The Michael Meaney studies on touching and
18 stroking animals, and how that permanently changes the
19 function of the HPA access. We are learning that
20 different personality characteristics in adults are
21 associated with different activities of the HPA access,
22 and different activities of the locus cerelius.

1 I think we are dealing with a very exciting
2 area where we are beginning to learn that the wiring
3 patterns of the brain, that the hormonal milieu that the
4 brain produces with different emotional states have
5 physiological effects. Certainly, with our new
6 understanding of why individuals develop atherosclerotic
7 disease and adherence of monocytes to endothelium, and
8 migration of monocytes into the subendothelial tissue, we
9 begin to realize that the hormonal composition of an
10 individual is associated with the disease.

11 Now, we spent a lot of time looking at stress
12 and anxiety states. We have spent very little time
13 looking at relaxation and spiritualism and stress coping,
14 and the hormonal alterations that that produces. If you
15 say to somebody, like you are indicating, "You are the
16 product of distant healing," there are most likely
17 hormonal alterations occurring in the individuals which
18 will be associated with the different health outcomes.

19 We really need to begin to look at these
20 interactions, at the hormonal response to both well-being
21 as well as stress, to look at what it does, not only to
22 immune system function, but to a variety of physiologic

1 functions. Placebos work for a reason.

2 The investigators are not putting in grants to
3 do this. We don't need separate institutes for this.
4 What we need are researchers who put in excellent grants.
5 If you put in excellent grants with the proper controls
6 and the proper data analysis, these should be funded. We
7 do need to remove biases from study sections, but the
8 money is there, certainly, if we have the qualified
9 investigators.

10 As has been indicated, we need to stimulate
11 more individuals to work in this area to understand these
12 mechanisms. There are powerful effects. We know that
13 from epidemiologic studies. We do need to learn more
14 about mechanisms, and we need the proper trials.

15 DR. CHOW: Do you feel you have the mechanisms
16 in place, or do you think they need to be more developed?

17 DR. RABIN: We are beginning to learn about
18 some of the mechanisms, but we can only study what we
19 know. Certainly, we can study the sympathetic nervous
20 system and the HPA access, and we can look at substance P
21 and neural peptide Y. There are probably other systems
22 out there that modify the hormonal response to stress,

Jack Patterson

5-1107

lp5p@n.h.gw

Cxj4@cu.h.h.gw

Proctor
for comm

use - ~~copy~~
contains from of a in the
to be text
7 NCI 15 - dry

TLINDEN@n.h.gw
com

1 the hormonal response to relaxation and health effects.

2 I don't think we know everything. We started
3 off with a few cytokines, and now there are 40 or 50.
4 Every day, there are more cytokines. There are many
5 things yet to be discovered. We have to do that to get

6 DR. GORDON: Thank you. I think, as you can
7 see from our response, we could probably go on all day.
8 I really appreciate, we all appreciate, your coming and
9 your presentations, and we hope we will be able to
10 continue the dialogue. Thank you very much.

11 [Applause.]

12 **Panel VI: Training of Conventional and CAM Investigators**

13 MS. CHANG: We are going to move right into our
14 next session, so if Commissioners can stay where you are.
15 There will be a break after this session, we promise.

16 Nancy Pearson and Neal West, who are sharing
17 some time, Robert Blanks, Russell Phillips, and Richard
18 Hammerschlag, if you could join us at the speakers'
19 table. Thank you.

20 DR. GORDON: Good morning, everyone. We will
21 begin with Nancy Pearson, please.

22 **Presenter: Nancy Pearson, Ph.D.**

1 DR. PEARSON: Thank you. Good morning, and
2 thank you for inviting Dr. West and myself to participate
3 in this panel.

4 During the next several minutes, we would like
5 to briefly describe NCCAM's training initiatives for
6 conventional and CAM investigators. I will describe
7 NCCAM's National Research Service Award program, or NRSA,
8 for research training, Dr. West will describe NCCAM's
9 Career Development Award programs.

10 NCCAM's ability to achieve its research goals
11 is dependent on the availability of a critical mass of
12 skilled investigators, both from the CAM and the
13 conventional research communities. These goals are
14 consistent with the NRSA program. To quote from an NRSA
15 announcement, "Congress enacted the NRSA, or National
16 Research Service Act program in 1974 to help ensure that
17 highly trained scientists will be available in adequate
18 numbers and in appropriate research areas to carry out
19 the nation's biomedical and behavioral research agenda."

20 It is under this congressional authority that
21 NCCAM awards individual and institutional NRSA training
22 grants. Through the NRSA awards, NCCAM is committed to

1 providing funds for high-quality, mentored research
2 training experience for both pre-doctoral and post-
3 doctoral students who are interested in pursuing a career
4 in CAM research.

5 Funding through NRSA research training grants
6 are available both to CAM practitioners who wish to gain
7 knowledge and experience to conduct rigorous research in
8 their field, and to conventional researchers and
9 practitioners who wish to increase their knowledge and
10 experience in specific CAM research areas.

11 To do high-quality research that will advance
12 the CAM field and make a significant impact on the health
13 care system, both CAM practitioners and more conventional
14 investigators need the same rigorous research training.
15 They need to acquire knowledge of CAM, but in combination
16 with a strong grounding in those disciplines necessary to
17 do high-quality, clinical, and basic research.

18 One useful paradigm for training students in
19 CAM is to include CAM-trained practitioners and
20 researchers as part of the training faculty, so they can
21 bring their important, unique perspective, philosophy,
22 and experience to the training.

1 During the first year of funding, which was
2 Fiscal Year 1999, NCCAM spent 1.3 percent of its budget
3 on training. Training is defined here as the combination
4 of NRSA awards and career development awards. In Fiscal
5 Year 2000, it increased that to 3.8 percent of the NCCAM
6 budget. During this fiscal year, that is 2000, NCCAM
7 funded seven NRSA individual pre-doctoral fellowships,
8 two NRSA individual post-doctoral fellowships, and two
9 NRSA institutional training grants.

10 These two NCCAM institutional training grants,
11 which support a total of 12 trainees, combine broad-based
12 research training, both in CAM and basic scientific
13 methodologies, necessary to perform high-quality research
14 in any scientific area. NCCAM also has committed funds
15 to support fellows on five institutional training grants
16 sponsored by other institutes and agencies, and these are
17 called co-pays.

18 In addition to these official training
19 mechanisms, research training also occurs in our funded
20 research centers in RO-1 grants, as you heard in the last
21 session.

22 While many of the NRSA awardees come from

1 conventional research and educational backgrounds, these
2 NRSA training programs have begun to attract trainees
3 with degrees, for example, in traditional Chinese
4 medicine and chiropractic.

5 The research and investigations these students
6 or fellows are pursuing are wide-ranging and cover many
7 different CAM modalities. These range from research on
8 herbal remedies to treating various disease conditions,
9 to investigations of the social processes that lead to
10 the use of alternative medicine therapies to treat HIV,
11 to studies of the effect of static magnetic fields on
12 fibromyalgia.

13 We anticipate that the NRSA training portfolio
14 will continue to grow in 2001. This growth will include
15 the awarding of two more NRSA institutional training
16 grants and additional NRSA individual pre-doctoral and
17 post-doctoral fellowship awards. NCCAM has also issued a
18 request for applications and made available money to fund
19 minority researchers.

20 In conclusion, we feel that by providing a wide
21 range of training initiatives, we can encourage
22 maturation of the whole field.

1 DR. GORDON: Thank you.

2 Neal West.

3 **Presenter: Neal West, Ph.D.**

4 DR. WEST: Good morning.

5 DR. GORDON: Good morning.

6 DR. WEST: The NCCAM Post-Doctoral Career
7 Development, or K Awards, are designed to ensure that
8 continued mentored research opportunities are available
9 to help a select group of highly accomplished individuals
10 to make the transition to independent investigator
11 status.

12 The NCCAM is currently supporting 11 Career
13 Development Awards at a total cost of \$1.3 million in
14 Fiscal Year 2000, and we expect to make several
15 additional awards this year.

16 Three current awardees have the K-01, which is
17 the Mentored Research Scientist Development Award. This
18 generally is made to a Ph.D.-trained scientist seeking a
19 mentored career development experience in a research area
20 new to the individual.

21 Two of our current awardees have the K-08,
22 which is the Mentored Clinical Scientist Development

1 Award. This supports the development of outstanding
2 clinical research scientists.

3 Four of our NCCAM awardees have the K-23, which
4 is the Mentored Patient-Oriented Research Career
5 Development Award. This supports clinically trained
6 professionals who have the potential to develop into
7 scientifically productive clinical investigators,
8 focusing on patient-oriented research.

9 Two of NCCAM's K Awards are through the K-24,
10 which is the Mid-Career Investigator Award in Patient-
11 Oriented Research. This program is intended to further
12 both the research and the mentoring endeavors of
13 outstanding patient-oriented investigators. Both of our
14 current K-24 awardees hold both the M.D. and the Ph.D.
15 degree. This award enables them to expand their
16 potential for significant contributions to their fields
17 and to act as mentors for beginning clinical researchers.

18 NCCAM Career Development awardees are
19 conducting research across a broad range of scientific
20 and medical topics, including botanical/drug
21 interactions, studies of glucosamine and cartilage,
22 homeostasis and degradation, yoga in pregnant asthmatics,

1 and a separate K, yoga as a treatment for insomnia,
2 Ayurvedic herbal effects on lipids and atherosclerosis,
3 the pharmacology of ephedrine/caffeine supplements, and
4 in one K-23 award to a chiropractor, the psychosocial
5 behavior and immune factors in back pain.

6 Since the CAM research community and CAM
7 research needs are so diverse, the NCCAM has made
8 available to potential applicants this full range of
9 traditional NIH career development grant mechanisms. The
10 resulting successful Career Development awardees will
11 constitute an elite corps of CAM researchers who are
12 further preparing themselves to succeed within the highly
13 competitive CAM research and NIH grant environments.

14 DR. GORDON: Thank you.

15 Robert Blanks.

16 **Presenter: Robert Blanks, Ph.D.**

17 DR. BLANKS: Well, I would first like to thank
18 the members of the panel for inviting me, and I certainly
19 look forward to the discussions.

20 I have been the medical educator and
21 researcher-scientist, for 23 years, at the University of
22 California, Irvine. I have had a lot of background in

1 terms of chairing institutional animal review committee
2 for animal and human subjects. I currently chair our
3 IRB. I have had the privilege of being a researcher in
4 what I would prefer to term holistic, vitalistic
5 modalities, focusing primarily subluxation-based
6 chiropractic, and one of its modalities, and a little bit
7 on Oriental medicine.

8 Throughout this time, it became very clear that
9 I was quite ill prepared to deal with the nuances of
10 training. Although I went through traditional Ph.D.
11 training. I had trained medical students, residents, and
12 faculty in this for a many years, it became clear that I
13 had just one world view, which was the view of the RCT,
14 the randomized, clinical trial. This was the view I had.
15 Until quite recently, I had the privilege -- I won't say
16 recently, 10 years ago, I had the privilege of meeting a
17 very talented man, who I believe was providing testimony
18 previously. I will get back to that in a second.

19 I had the privilege of talking with the person
20 who now had the benefit of having a holistic view of the
21 world, a very broad way of looking at health, and said,
22 basically, I don't want to deal with symptoms in dealing

1 with my modality. I don't want to deal with outcome
2 measures which are not honoring that human domain. We
3 need to come up with very valid measures of health, which
4 could include quality of life, which measures the full
5 dimension of health.

6 At that point, my colleague pointed out that
7 there are many different models, and I was to go back to
8 the table, redevelop myself, redesign myself. So I began
9 to research the process. About that same time, we
10 developed a center, the Southern California Center for
11 Complementary Medicine at the University of California,
12 Irvine, since renamed.

13 We came up with an interdisciplinary approach,
14 which talks to the specifics of the details of the
15 committee today, which is basically how we deal with
16 design of research, how we move cultures of evidence
17 forward, and particularly what we are doing with the
18 mind-body construct in a holistic or vitalistic approach
19 to health.

20 The first team member we added then was a
21 medical sociologist. We brought in an anthropologist.
22 We brought people doing psychoneuroimmunology. I was

1 physiologist-trained at that point, and also medical
2 people that brought in other people who were trained in
3 specific disciplines. Indeed, we developed a health,
4 wellness, and quality-of-life outcome measure.

5 It is now an 87-item questionnaire, validated
6 in three separate samples, including a nutritional co-
7 therapy sample, giving us a way of looking at this
8 process of health, defined not specifically as a disease-
9 oriented modality, disease treatment modality, but
10 certainly embracing the broader constructs of health.

11 Let's come back to this issue of the world
12 view, and this is indeed what we call the biomedicine
13 model. I think there is no question that this is the
14 dominant culture. I think there is no question that
15 randomization does help with the issue of bias and so
16 forth, but let's think of the problem at hand in dealing
17 with these health modalities, and particularly those
18 which are very vitalistic. I think the issue there is
19 how you merge this culture of evidence, particularly at
20 the lower levels, merge it to the higher level, which
21 might be the RCT.

22 One of the things that I preferred to do, is

1 when I trained medical students to deal with
2 investigators, is deal with this issue of training, that
3 various models are out there, and I will just name a few.
4 I gave handouts here, and you will see that there is a
5 summary of what I consider the dualism of the world views
6 of research and research training. In general, the
7 biomedicine model seeks to classify, diagnose and treat,
8 largely, symptoms.

9 There are other models. The one that is
10 transitional now is one we call a social epidemiological
11 model, which looks at the disease entity within the
12 context of the broader social domain, which is often that
13 person's socioeconomic condition, socio-behavioral
14 condition and so forth. We are dealing with many of the
15 other issues that have come up here.

16 Here is the last one, which is on the right
17 side of the column, for the benefit of the handout here,
18 the one I just termed the "social science model," but it
19 is one that is often referred to as the holistic or the
20 contextual model, which looks at the person, their bio,
21 mental, social, and spiritual domain within the context
22 of the society, defined broadly.

1 Now, we believe that in the first search for
2 the various outcome measures that might allow us to
3 address these broad domains of health, that we first
4 sought the quality-of-life survey, which was the
5 patient's perception of health, or what we call "health
6 belief."

7 In that quest, we largely found, and as most of
8 you may know, there are many quality-of-life scales, but
9 many of these are disease-oriented. There is a separate
10 one for mental status, for Alzheimer's patients. There
11 is a separate one for the diabetic patients, and so
12 forth.

13 In each case, it focuses largely on the disease
14 domain, which is the ceiling of this. Either you have
15 the disease, or you don't have the disease. The cap is
16 not the vital standard, which is the human potential, but
17 often is the absence of disease.

18 Going back again, as a quick reminder, in 1958,
19 the definition of health by the World Health Organization
20 simply said, and it was really a brilliant discussion,
21 that health was complete physical, mental and social
22 well-being, and not merely the absence of disease or

1 infirmity.

2 We have picked up that definition. We have
3 sculpted a large part of our research outcome measures in
4 health, wellness and quality-of-life through surveys
5 around that definition. Again, it keeps away from the
6 symptom, or what we call the biomedical model.

7 So we what could be classed as the three models
8 of the world view. I use this, too, because I like to
9 think of the dualism, like you have the yin and yang in
10 the Oriental medicine philosophy. Your best state of
11 health in Oriental philosophy is the flow of energy
12 between the two extremes.

13 I believe that we need the flow of energy
14 between health outcome and research models, one being
15 biomedicine as RCT, and the other being more the social
16 science model, and a very broad base of literature
17 looking at many characteristics, such as patterns,
18 processes, the context with which you are evaluating
19 health, dealing with tracking entire rivers of
20 information, as opposed to collecting facts and so forth.

21 Well, as to specific ideas and how one could
22 proceed in the training, I believe the first starting