

1 proponents or opponents of something that they called
2 CAM. They simply did what they did every day, and in our
3 day and age, we have I think, unfortunately, confused the
4 concept of religion and spirituality, and the claims that
5 are made by many within complementary and alternative
6 medicine is that it is somehow better because it is
7 spiritual.

8 It trivializes -- trivializes the thousands of
9 years of traditions by saying that this is only a
10 property of those who use or deploy complementary and
11 alternative medicine.

12 In that way, that is how I have looked. That
13 is something I call CAM rhetoric.

14 DR. GORDON: Thank you, Linnea.

15 Ming, and then Tierona.

16 DR. TIAN: A question for the Table I. First
17 of all, I understand that, first, the category is a
18 system, medicine system. Regarding traditional Chinese
19 or Oriental medicine system, does that include the
20 acupuncture, Chinese herbal medicine, because the
21 definition here is Chinese and Oriental?

22 I am a little confused what we are talking

1 about here.

2 DR. GORDON: In this instance, I would just
3 like to ask you, how would you say it was best worded?

4 DR. TIAN: It should be traditional Chinese
5 medicine. If you mention another, for instance, Ayurveda
6 medicine, that is from India.

7 DR. GORDON: One thing that I think, that is an
8 important point, one thing is these lists are not
9 exhaustive, so it's fine to have traditional Chinese
10 medicine if that is clearer, I believe, and there many,
11 many. There are a zillion other systems besides the ones
12 that we are listing.

13 DR. TIAN: Thank you. The second one, when we
14 talk about second categories, I think we need to
15 reorganize that, because you put that herbal therapies
16 with mind and body methods, that is not accurate.

17 DR. GORDON: No, it is not accurate, and we
18 just covered that when David raised that point earlier.

19 DR. TIAN: By the way, biological therapies is
20 pretty confusing to me. What does that mean?

21 DR. GORDON: I'm sorry, which?

22 DR. TIAN: The first one, enzyme is very

1 confusing. This sounds like conventional medicine,
2 enzyme, does that belong to dietary supplement or not?

3 DR. GORDON: Jim, do you want to address how
4 you established these categories?

5 MR. SWYERS: I am going to punt this one to
6 Wayne, because this is adapted from the table he gave me,
7 so I will let him answer this one.

8 DR. JONES: Actually, this comes out of the
9 five categories that NCCAM has in which there is
10 biological and pharmacological therapies, and which they
11 have a whole list, and if you want to list a bunch of
12 them in parentheses to help clarify it, that could be
13 done also.

14 DR. LOW DOG: Could you add pharmacologic
15 there, though? It might make more sense.

16 DR. JONAS: I think it might be better to use
17 the actual categories that are out of NCCAM, I mean the
18 terminologies out of NCCAM. These are abbreviated in
19 order to put them easily into a table form, but maybe you
20 could sacrifice that and list the entire thing, which I
21 can help you do if you want.

22 DR. GORDON: Wayne, what about just listing the

1 categories as opposed to all the examples that NCCAM has?

2 DR. JONAS: I think that would be fine. The
3 examples, you don't have to list all the examples, but I
4 think some of the example are helpful to clarify exactly
5 what he said, what are biological therapies.

6 MR. SWYERS: Jim, the other way we could deal
7 with it is if we don't want a list in the table, we could
8 put it in the glossary and say here is what these things
9 are.

10 DR. GORDON: I'm sorry. Let's come back to
11 this issue again, because I want to move through all of
12 them and I want to make sure we settle all of them in
13 order.

14 Tierona.

15 DR. LOW DOG: I think that Linnea's comments
16 about spirituality are very important to address because
17 they are woven through much of this document, and I do
18 think it is kind of a coaptation. The rhetoric is
19 throughout the report, and I think we do have to be
20 thoughtful. We may have read this so many times that we
21 just don't even catch it ourselves, but even like on page
22 12, under Safety Issues, "Despite evidence that CAM

1 systems, approaches, and products are effective in
2 managing and treating a variety" -- you have made a big
3 statement there. Actually, there is not that much
4 evidence for a whole lot of them.

5 It needs to say something like, "Despite
6 evidence that some CAM systems," so I think we just have
7 to be more thoughtful when we go back through the
8 document, as a general comment in the text, to look for
9 areas where we may have overstated or exaggerated.

10 DR. GORDON: Great. Thank you. Let's put that
11 as a separate category to be discussed, that kind of
12 rhetoric, and we can make some comments here, and then we
13 may want to make some as we go along, as well.

14 Joe.

15 DR. FINS: I am just going to echo some of
16 these things. This point on page 7, line 7, partly
17 because conventional medicine has been slow in developing
18 adequate treatment, it is like, yeah, well, we were
19 really asleep at the switch here and we really didn't
20 care about chronic conditions, it is accusatory like we
21 didn't care.

22 I would just point out that there are lots of

1 new drugs for diabetes, which is a chronic condition,
2 that spare people from insulin; a psychiatric illness,
3 which is a chronic condition, we have had a renaissance
4 in the treatment of psychiatric illness in the last 10
5 years, Cox-2 inhibitors for arthritis. There is this
6 editorial tone here which is really unacceptable.

7 DR. GORDON: Okay. We have got that.

8 DR. FINS: Good. I want to just give you
9 examples.

10 Then, we go into the notion here that CAM has
11 been helpful for people with chronic conditions. I would
12 add here protease inhibitors is a treatment for a chronic
13 condition and we have one example here, about back pain,
14 and that simply talks about people's preferences for the
15 choice of practitioner is now a proxy somehow for
16 efficacy.

17 The fact that people desired or chose or wanted
18 to go to these people doesn't mean that it was safe and
19 effective. Again, I think the disingenuous use of the
20 data, about 83 percent of people using CAM for cancer
21 ignores the vast majority who seek conventional
22 treatment, and the scope of the intervention.

1 If you went to church and you prayed, you got
2 listed as using CAM, and it is problematic.

3 Also, on page 10, there is a lot here, like on
4 line 16. It doesn't makes safety and efficacy. People
5 turned to CAM because they perceived their conventional
6 drugs as ineffective. Perception is not necessarily
7 evidence. It borders on anecdote.

8 We see that again on page 11, line 7, where
9 physicians believe in the efficacy of these treatments,
10 and then I think the bullet points on page 12 are very
11 vague, that heart disease, well, what is the first
12 article there? Benefits of CAM for heart disease.

13 Again, I think the point that Linnea and
14 Tierona were making before is that it overstates the
15 efficacy of these interventions to be proven, it
16 understates the available treatments, and the final
17 point, on page 14, line 29, is this line here that we
18 want to have models where people are walking together,
19 side by side, as equals.

20 Now, each of us as human beings are equal, and
21 we all have intrinsic worth, but the interventions are
22 different. I do not want to equate what we all do as

1 having parity. We make contributions in different ways.

2 I think that if we get over that desire to say
3 that CAM is as effective, and you are trying to use these
4 numbers to sort of say, well, look at the prevalence of
5 the use, as a proxy for efficacy and for parity, is
6 problematic.

7 DR. GORDON: Thank you, Joe. We are going to
8 discuss each of these -- and I think the points are very
9 well made -- we are going to discuss each of them in
10 turn. I was just hurrying you through because I think we
11 got the point that you are making.

12 Tom.

13 MR. CHAPPELL: Well, I think prevalence of use
14 is an important indicator to understand the CAM movement
15 and that efficacy is, in the final analysis, in the eyes
16 of the beholder. You could give me a medicine that you
17 say works in the lab, but if it doesn't work for me, it
18 doesn't work for me.

19 So I think prevalence of use is a highly viable
20 monitor and benchmark.

21 DR. GORDON: What I would like to do is to come
22 back to that issue, not deal with that right now, but

1 come back to that one in order and deal with it as we go
2 along, prevalence versus efficacy.

3 MR. CHAPPELL: Prevalence versus -- okay,
4 prevalence versus proven.

5 DR. GORDON: So let's come back to that. What
6 I would like to do is if these are the basic issues here,
7 is to go through them one by one and have a discussion
8 about them, and get a direction for the resolution of
9 each one of these.

10 SISTER KERR: Jim, one of the things that I
11 feel clear about, although I don't know how to
12 necessarily articulate it clearly or have the response
13 clearly, and my brother Wayne over here, I have often
14 asked him to help us with this, one of the things that I
15 feel we could potentially do today and in this report is
16 collapse -- what I hear we are beginning to do is
17 collapse into this research issue.

18 If you look at Dean's e-mail, you will see what
19 he is saying. When we get into the evidence, and Wayne
20 just made a comment I thought was good -- we were in the
21 evidence rut. For myself, ever since we began the
22 commission, I was always wanting this clarification on

1 research because even the most honorable person who knows
2 there is issues in research wants to defend the public,
3 wants to do the right thing, and we say, well, we need
4 more research.

5 We all agree on that. But then we just
6 collapse into this one model, and this conversation about
7 paradigm and pluralism in research, I think we haven't
8 really clarified that.

9 My own bias is a clarity, a statement about
10 this actually give us the jumping off point for being the
11 most visionary we could possibly be and bold, because we
12 don't know how to still have the same honor of defending
13 the people and speak about research in a way that allows
14 us to be diverse and include things like CAM.

15 Let me acknowledge I am not being very clear
16 and I am going to ask Wayne to help me out on this, but
17 there is a clarity for me about the vision that is
18 possible when we broaden this understanding, but speak it
19 clearly about the potential of new paradigms in research,
20 and because Wayne has volunteered to help me -- go ahead,
21 Wayne.

22 DR. GORDON: Let me just ask both you and Wayne

1 whether this discussion is appropriate for this section
2 or for the Research Section.

3 SISTER KERR: Let me speak first while I am
4 bringing it up now. To me, my listening is that everyone
5 has spoken to research in the last, I would say, 80
6 percent of the comments in some form, and that is why
7 again I am trying to bring it up in a vision statement
8 actually.

9 DR. JONAS: Well, I hesitate a little bit to
10 get us out of the rut because it is not our rut. We
11 didn't dig the hole. It is a rut that the entire country
12 and the community has been involved in for a long, long
13 time and will continue to be involved in, so I think we
14 need to clarify the issues around that, and I think that
15 can be done in the Research Section if we could then
16 reflect back in some of these areas to those how it
17 relates to research, then, I think that is important.

18 I think the way to deal with that in a section
19 like this, which is not about research, is to try to come
20 up with what has been suggested around the table, a nice,
21 balanced tone, a tone that acknowledges the tremendous
22 advances in conventional medicine that have really

1 revolutionized health care around the world, that
2 acknowledges some of the limitations of those and some of
3 the reasons that the public is looking for alternatives,
4 and then some of the potential contributions and concerns
5 that there are about that, and that is how we should do
6 that and try to get away from whether any of the
7 statements are based completely on research or not
8 completely on research.

9 I think if we have a balanced tone on that,
10 then, we won't fall into the rut in a section that really
11 is not about addressing that, so that would just be my
12 suggestion.

13 DR. LOW DOG: I just want to make sure that we
14 are very clear that I think that this is a problem in the
15 report, though, where we talk about prevalence and
16 consumer-driven movements.

17 The 2001 Gallup poll was very interesting when
18 you look at consumers' beliefs, just every-day Americans'
19 beliefs in paranormal activity, you know, the amount of
20 people who believe in astrology, you know, 40 percent of
21 people believing in haunted houses and ghosts and
22 witches, and things like that.

1 So beliefs and what we believe are one aspect
2 of this, and prevalence, you know, the prevalence of
3 people eating high-fat foods and smoking, and things like
4 that, are very, very high, as well, but I am not sure we
5 would advocate for them.

6 The last comment is I would have to say that I
7 think that because Western medicine or conventional
8 medicine has not found answers for all of the health
9 problems or even something deeper that many people may be
10 looking for, I am not sure that it is also going to found
11 in CAM.

12 I think the reason for evidence is before we
13 just bring on more things, that may or may not be the
14 answer to these problems, there needs to be some evidence
15 before we take it into the system that it is actually
16 going to do something.

17 So I think prevalence and proof, I think that
18 we have to address safety issues around prevalence
19 because people are using things, so we need to make sure
20 they are safe. I don't want to restrict their access to
21 it as far as if you want to do it, but I think that
22 before you begin to make public policies about what you

1 are going to integrate into a system, there needs to be
2 more evidence.

3 DR. GORDON: What I would like to do is address
4 the issues as they come up in this chapter, having heard
5 the general perspectives, and begin to move through this
6 because otherwise we are not going to be able to get
7 through the report.

8 Joe.

9 DR. FINS: There was a survey in the late
10 nineties about how people dealt with pain, and one of the
11 things that was really clear about it was how people
12 endured a lot of pain, wouldn't go to doctors to get pain
13 control because they didn't want to cede the locus of
14 control to a provider.

15 Part of that is consumer driven, but part of it
16 is the fear that if I go to the doctor, I am really sick,
17 if I need a stronger prescriptive medicine, there may be
18 something wrong. I think part of what is going on here
19 is the psychology and the sociology.

20 This is not necessarily the promotion of
21 wellness side for people who are sick, and how we engage
22 in all kinds of denial to avoid the encounter which might

1 break bad news to us.

2 So I think that there are multifactorial
3 reasons why we seek to promote our own wellness and to
4 maintain control. Being ill, requiring a healer's
5 presence whether it's a physician or a CAM provider, or
6 whoever, is a ceding of certain kinds of control.

7 So I would like a little more about the
8 vulnerability, the sociology of illness here that
9 captures why people might seek refuge in things that are
10 less threatening.

11 MR. SWYERS: Joe, I just want to add that in
12 the case of CAM, the surveys show that most people that
13 are using it are the sicker people who have already been
14 to the doctor, so they have already gotten the bad news,
15 so they are looking for more options.

16 DR. FINS: That brings up the issue of
17 palliative care, and I think one of the failings of
18 conventional medicine is its failure to adequately
19 integrate palliative care.

20 People can go to the CAM provider because we
21 are a death-denying society and say I am looking for the
22 heroic cure, when, in fact, they might be better served

1 by the wonderful services provided by the local hospice.

2 DR. GORDON: I would like to move through the
3 list. We can raise these issues as they come up.

4 Ken, can we begin with the first of the issues?

5 DR. FISHER: [Off mike.]

6 DR. GORDON: On that issue raised by Tom,
7 basically noting in the history that these were aspects
8 of the movement in the direction of CAM, do we have
9 agreement on this, that this is something that should be
10 mentioned as part of the history of the movement?

11 MR. CHAPPELL: Ken, the natural foods business
12 had nothing to do with taste. It had to do with
13 nutrition.

14 [Laughter.]

15 DR. FISHER: Yes.

16 MR. CHAPPELL: It wasn't a culinary interest,
17 it was a nutritional health fundamental, "I might live
18 longer" issue.

19 DR. FISHER: I was just checking to make sure
20 you all were listening to what I am saying.

21 [Laughter.]

22 DR. FISHER: Plus means that you want it added.

1 DR. GORDON: This is part of the background.
2 The other thing that I would add, that I have written in
3 a previous critique, is that, in fact, long before
4 Americans were using the words "complementary and
5 alternative," they were using the word "holistic," and I
6 think that the accurate history needs to be "holistic"
7 first and with a little description of what was going on
8 among holistic physicians and nurses, and then
9 "complementary and alternative" after that. Okay.

10 The second issue and, Ken, the political
11 challenges to CAM?

12 DR. FISHER: This was Joe Pizzorno's comment
13 about the political challenges to CAM then and now.

14 DR. GORDON: Joe, do you want to say what you
15 feel is necessary to put in here given the fact that we
16 want our tone to be fair and not be raising old battles
17 that can't be solved?

18 DR. PIZZORNO: Well, first, this is a History
19 Section, so we are not raising old battles, we are just
20 simply stating what was, I think that has to be said, and
21 I think we also have to say that there is still
22 opposition amongst state medical boards to the licensing

1 of CAM practitioners, this being the fact. This is not
2 us getting into an argument, the statement of facts, it
3 is happening right now.

4 DR. GORDON: Let's discuss this one. We have
5 discussion. Tierona.

6 DR. LOW DOG: I think that you can't have the
7 conversation about the political aspect of blocking
8 licensure without the discussion that a number of these
9 CAM practices have not been shown to be scientifically
10 based. It is a problem that we are having a hard time
11 getting around, because it does impact the political
12 system.

13 So I think that it is both. It is both parts
14 of it. It is an entrenched system that doesn't want to
15 let go and doesn't want to relinquish power, and that is
16 there, there is no question.

17 There is also the flip side of that, which many
18 of these are based on vitalistic sort of notions that may
19 have been more relevant 100 years ago, may not be as
20 relevant today, may be, but maybe not, and are not
21 scientifically based.

22 DR. PIZZORNO: I just want to say,

1 Tierona, I agree with you. We don't want to say we are
2 opening the doors to anything, but I think we have to
3 deal with the political realities.

4 I think we should clearly mention the antitrust
5 case that the chiropractors won against AMA, because
6 that's clearly demonstrated it was based on economic
7 realities, not on scientific realities. This is a matter
8 of court record.

9 DR. GORDON: Other thoughts about this?
10 Linnea.

11 MS. LARSON: I think this requires a little bit
12 deeper thinking here. Joe Pizzorno, the doors are open
13 to everything. Okay? But we do not legitimize through
14 positive sanctions certain things. Okay.

15 That could be how you put that in the history.
16 That is how we get confused on access and delivery, et
17 cetera. So making claims that there is nothing, that is
18 not true.

19 DR. GORDON: So how do you suggest framing this
20 discussion? I think that is what we are looking for
21 right now. Both Tierona and Joe seem to agree that it is
22 important. The question is how to frame it in this

1 context. It's Linnea, Effie, Joe, and then David.

2 MS. LARSON: I just had a brain-block moment.

3 David, you can have it.

4 DR. CHOW: I think we are still looking at a
5 medical model when we talk about licensing, even though
6 we don't say it is. When you say we can only license
7 something if it's scientifically proven, I think the
8 Indians are very smart, the Chinese are not so smart.

9 We are moving over the border and sacrificing
10 our total approach, which is like taking acupuncture into
11 separate entities.

12 DR. GORDON: Effie, I would like everyone to
13 focus, though, on this particular issue. We are not
14 dealing with licensure here, we are dealing with the
15 historical question.

16 Forgive me if anybody feels I am being rude, I
17 am really trying to get everyone to focus as closely as
18 possible on the issue at hand, and the issue here is how
19 do we deal with some of the historical and present
20 conflicts between Western medicine and some of the other
21 disciplines that are looking either for legitimatization
22 or looking for licensure.

1 DR. CHOW: Well, the politics that Joe
2 mentioned, Tierona brought up licensing, that you can't
3 deal with the politics without mentioning about
4 licensing, and I think with licensing, we have got to
5 talk about then the fact that some cannot be licensed in
6 the usual manner.

7 That is why I am bringing out the difference
8 between the Indians. Perhaps their licensing is through
9 the acceptance of the elders themselves, and by nobody
10 else.

11 DR. GORDON: If I am following you correctly,
12 you are saying that for a number of traditional forms of
13 healing, that they lie outside of this kind of discourse.

14 DR. CHOW: Yes, this is my point.

15 DR. GORDON: Thank you.

16 Joe is next, then David and Wayne.

17 DR. FINS: I had asked I think at the very
18 first or second meeting that we commission a historian to
19 write the history of the movement, and I think now we
20 know why that would have been a good idea.

21 I think what we need to do is appreciate that
22 history is a mosaic of lots of different perspectives,

1 and what is written by one school might be different than
2 another. I think the way we handle this perhaps is to
3 cite scholars, not opinions.

4 You know, this scholar in his work or her work
5 suggests that it was economically driven. Others
6 suggested that it was a response to hucksterism. Others,
7 you know, it was the rise of the scientific model and the
8 NIH, and just diversion of funds.

9 I don't think the Commission can or should take
10 sides on who was right or who was wrong but rather, just
11 to explore the issues in a very balanced kind of way, and
12 if people could supply -- maybe, Joe, if you can supply
13 Jim with scholarly references of real historians, and
14 then there are other sources, we can just kind of have a
15 balanced treatment of it.

16 I think scholarship is really what is needed
17 here, it is not a commentary, it's a scholarly take on
18 it.

19 DR. GORDON: Thank you, Joe.

20 David, Wayne, and then Joe Pizzorno I think
21 again.

22 DR. BRESLER: To take the balanced notion a

1 little further, I mean those of us in the early days know
2 what the resistance was like. In 1972, it was 17
3 submissions to the Medical Review Board at UCLA before we
4 got an acupuncture protocol approved.

5 So we could go ahead and list all these kinds
6 of things, but in the spirit of balance and the spirit of
7 keeping it positive, the fact is that they did approve it
8 and that these changes did occur, and I think we can
9 address some of the obstacles, but also address some of
10 the ways that those obstacles were overcome and how
11 basically, everybody is coming together to begin to
12 facilitate this type of research now. Keep it in a
13 positive.

14 DR. GORDON: Thank you, David.

15 Wayne.

16 DR. JONAS: I think we do need to describe what
17 has been often very rocky and contentious political
18 debates, which sometimes includes science, but most of
19 the time does not, and we also need to emphasize the
20 tremendous advances in science and conventional medicine
21 that largely overwhelmed some of the alternative
22 perspectives including the perspective of holism in which

1 there was minor movements that then kind of got buried,
2 and this is now a resurgence of those, in fact, the third
3 time in this country that they have come back in a
4 prominent way.

5 I think a description of that is important. I
6 mean I am not saying anything anybody else has not
7 already said, but I think the balance in the tone, I
8 think is important in this area, but on the other hand,
9 there are continued political battles that are ongoing
10 right now that are continued extensions of the history of
11 this.

12 So we at least need to have an accurate
13 description of those types of things.

14 DR. GORDON: So, Wayne, would you include those
15 continuing battles, and if so, how would you address
16 them?

17 DR. JONAS: I think in the History Section we
18 need to describe them and then simply reference that
19 there are continuing battles, because actually in the
20 body of the report, that is when we get into the meat of
21 trying to address some of those issues, so I don't think
22 those need to be described in the History Section, but I

1 do think we think we need to acknowledge the fact that
2 there is this, and has been, an ongoing kind of power
3 struggle and political issues that involve practitioners
4 of various types and the public and policymakers, and
5 this type of thing.

6 DR. PIZZORNO: Well, thank you to the
7 Commissioners. I think this was a very balanced
8 conversation, and Joe Fins, I think we have full
9 agreement, just we want to just kind of matter of factly
10 say this is what happened, not take sides, but not also
11 use as a door to open up anything coming into the system
12 either, because clearly, they are having problems with
13 inappropriate practitioners that we don't want to have
14 practice, but there has also been a problem there has
15 been an economic drive to some of the things that have
16 happened.

17 So let's just be as objective about it as we
18 can. It makes sense.

19 DR. GORDON: Are we agreed then, is this a fair
20 representation? I am wondering, Wayne, if I can ask you,
21 since you have worked on this section, if you could help
22 to draft some of these historical issues. Work with Jim.

1 DR. JONAS: Jim has actually seen a number of
2 the scholarly descriptions of this area. Again, the
3 opinions even in those are quite diverse. Sure, I would
4 be happy to help.

5 DR. FINS: Maybe a way of casting the History
6 Section here is to simply state that the history of the
7 rise of modern medicine in the last hundred years and the
8 concomitant sort of fall and then resurgence of CAM is a
9 complicated story. It is informed by current political
10 pressures, and it sort of feeds on itself.

11 Anyone who is to engage in reform needs to be
12 aware of this past and understand the continuing tensions
13 that exist, but not take a stand, and then list different
14 perspectives. I think I heard economic pressures, the
15 rise of science, and then again I think education is
16 another theme, and the Flexner Report needs to be
17 mentioned and what that did for sort of the
18 standardization of medicine.

19 The Kenneth Ludimer book, "Time to Heal," is a
20 wonderful source for this history. It just came out, and
21 it does mention some of these things, and I think it is a
22 wonderful volume.

1 DR. GORDON: Do we have a sense of agreement
2 and a sense of direction for Jim and perhaps with Wayne
3 consulting and working with him on this? Good. Let's
4 move on to the next one, Ken, please.

5 DR. FISHER: At the risk of being shot down, I
6 would suggest that Item No. 7 is what you have sort of
7 bridged over to Item No. 2 in terms of the success of
8 conventional medicine, public health interventions, and
9 the same. You have sort of talked about those in the
10 same voice.

11 So then with that success in mind, I would
12 suggest that in addition, the discussion of Oriental
13 medicine also belongs up with additional topics, and if
14 you will buy that one, then, I would suggest that the
15 issue of mind-body medicine and Item No. 9 all refer to
16 the accuracy and completeness of the table on page 2.

17 DR. GORDON: Ken, let me get clear. You are
18 saying that No. 7 needs to be brought in as part of No.
19 2, and that that discussion needs to be woven together.
20 Is everybody comfortable with that? Joe, are you
21 comfortable with that?

22 DR. FINS: Yes, I think it is part of that

1 history story.

2 DR. GORDON: Great.

3 Wayne.

4 DR. JONAS: I agree with what you are saying,
5 and there is actually a fairly detailed taxonomy of CAM
6 already laid out.

7 DR. GORDON: That is the next topic. That is
8 what we are coming to right now.

9 DR. JONAS: This relates to the table.

10 DR. GORDON: We are not on the table yet. We
11 are talking about the evolution of conventional medicine
12 going together with a discussion of the CAM/conventional
13 medicine struggles. So that is all part of the history.

14 The next part does have to do with the table,
15 so please feel free to comment on that. It has to do
16 with Oriental medicine, mind-body medicine, et cetera.

17 DR. BRESLER: Oriental medicine goes with
18 Restin --

19 DR. GORDON: What you are saying is that part
20 of the rise of CAM has to do with the interest in Chinese
21 medicine in particular after the Restin article.

22 DR. BRESLER: It just goes up with additional

1 topics.

2 MR. SWYERS: That is the James Restin article
3 in the New York Times.

4 DR. GORDON: So that is part of the history,
5 just the way the history of holistic medicine is part of
6 the history.

7 The next issue has to do with the table. These
8 are the points that Ming brought up, and that you brought
9 up, too, and Wayne's suggestion was that we might look to
10 the original table, the OAM and now NCCAM tables for the
11 categories. Wayne, do you want to say more about that?

12 DR. JONAS: I would suggest that. I mean it
13 has been worked on for many years, it is now kind of
14 standard usage, and it's not perfect, but I think it
15 would be useful to substitute that.

16 MR. SWYERS: It's on the NCCAM website, too, if
17 anyone wants to look at it.

18 DR. JONAS: I would do that.

19 DR. FINS: Just to briefly go back to the
20 History Section as just a caveat, I think whatever we
21 write, we need to say that a full comprehensive
22 historical treatment of this complicated subject is way

1 beyond the scope of this report, but suffice it to say,
2 you know, and that readers are directed to, and maybe the
3 bibliography for additional scholarly sources.

4 But I think we want to be very clear, and not
5 attempting to be historians or scholars, because we are
6 only going to briefly treat it to set up the current
7 context.

8 DR. GORDON: Back to the table and the use of
9 the NCCAM/OAM table.

10 DR. TIAN: I have one suggestion. I think it
11 was very good mention, medicine system or medicine. We
12 should say medicine, therapy, and the product. These
13 three things are different, so we should not put them
14 together even from the original table from NIH, the
15 definition was not quite clear. We need to adjust a
16 little bit at least the wording, make this better.

17 DR. GORDON: So these are different points of
18 view, yours and Wayne's.

19 DR. TIAN: No. I would suggest to add my
20 suggestion because basically, we are still using NIH,
21 that listed all of them, because this table does not
22 include everything. As I remember last time, we had a

1 reference. That table includes 33 medicines and the
2 therapies if I remember the number.

3 Now, we cut some of them. Must be a reason.
4 We have to say why not including those or even mentioned
5 in the paper, NIH definition of CAM.

6 DR. GORDON: Wayne, do you want to address
7 this?

8 DR. JONAS: I think the distinction between
9 system, modality, product, and practice, et cetera,
10 actually perhaps -- I don't know if this is adequate --
11 but in the footnote under the table, there is actually a
12 specific discussion of that.

13 What happened in the classification is that
14 systems became an entire category in which they talked
15 about traditional systems, and they threw everything in.
16 Well, in that one category are all the modalities,
17 practices, products, et cetera, et cetera, if you were to
18 simply look at the details of that.

19 Then, some of them were broken out and put into
20 different categories primarily because these are ones
21 that allowed Western folks to kind of get a grasp on
22 aspects of it, like acupuncture, for example.

1 So, yes, that exists in the current system, and
2 it is kind an inadequate dissection, but it is a
3 dissection that I think is accepted.

4 I think if we use this reference on the bottom
5 and explain this, and perhaps you would want it up in the
6 text, but in a sense I like it set off. I think this,
7 hopefully, would get at this issue of the system.

8 I am not sure if we want to modify that other
9 than just describe the issue that comes even with the
10 NCCAM definition.

11 DR. TIAN: I have no objection. I just wanted
12 to mention that the medicine is medicine, therapy is
13 therapy, and the product is product. The definition
14 should be different.

15 DR. GORDON: Okay. Are we okay? Let's move on
16 to the next issue.

17 DR. FINS: I think Ming's point is there is
18 something there that is really important, and I think
19 maybe stick with the table that was in the NCCAM
20 iteration, but maybe say in the discussion that sometimes
21 there are confusions that exist because we conflate all
22 these things.

1 You might have practitioners who are multiply
2 skilled, therapies that could be considered as being part
3 of several different systems, and it is not so clear, and
4 that what we might want to argue for -- and we don't do a
5 great job of it in the report, though -- is that any
6 policy that exists regarding CAM has to be very clear and
7 specific about what we are talking about.

8 So definitional clarity, you know, any of us
9 around the table is in favor of CAM at large. There are
10 dimensions that we are against, and there are parts that
11 we are for. So I think this might be a good place to
12 talk about the need for diagnostic and definitional
13 precision.

14 DR. GORDON: I think that is what Wayne was
15 saying also, that some of that work has been done.

16 DR. FINS: Yes, but we didn't say it.

17 DR. GORDON: And that would be said. Is
18 everyone okay with this? Good. Let's move on then.

19 Mind-body medicine. I have a paragraph, just a
20 basic description of mine-body medicine, which I will
21 give to Jim. Mind-body medicine is a separate. Was
22 there more?

1 DR. FISHER: Well, yes, you need to clarify
2 that, I think, because the discussion suggested that all
3 of the different modalities would be associated with the
4 development of a more comprehensive table and
5 explanation.

6 DR. GORDON: In the NCCAM definitions, mind-
7 body medicine is a category, and it's in there. I am not
8 sure what you are saying. We are going to use those
9 tables. We are going to use their definitions.

10 DR. FISHER: I guess what I am saying is that I
11 am unclear. It was mentioned as a specific modality, but
12 also so was holistic medicine, organic foods, Oriental
13 medicine, but they all sort of subsume in a table.

14 DR. GORDON: Let me try to clarify. There is a
15 table that discusses the modalities, the approaches, the
16 systems as they are laid out by NCCAM. There is
17 agreement that we are going to use that table with the
18 addendum that Joe is speaking of.

19 There is a specific question in terms of the
20 history of the development that relates to holism,
21 relates to Oriental medicine, that is a separate
22 consideration.

1 Joe.

2 DR. FINS: The other thing that goes with this,
3 I think is sort of the rise of the psychoanalytic
4 movement especially in the postwar period, and
5 psychiatry, you know, the sort of inside-oriented
6 psychotherapy was really in many ways a precursor, and I
7 turn to you and David about linking up that history with
8 this story, because in many ways, those are antecedents
9 to some of the mind-body concerns and the therapeutic
10 obsession that we all have.

11 DR. BRESLER: The early TM work, the
12 biofeedback work, all those things should be mentioned.

13 DR. GORDON: I think the issue, though, is we
14 have limitations of space, and I think we can mention it,
15 otherwise, you are going to get into a whole complicated
16 history which goes back much further. It goes back to
17 Jung and other folks, as well.

18 MR. SWYERS: Jim, we also have a major time
19 limitation, too.

20 DR. GORDON: Let's move on. I think
21 commonalities of conventional medicine and CAM, have we
22 addressed that sufficiently in the way we are taking up

1 No. 7? In terms of the history, commonalities in CAM, do
2 you want to re-specify what you meant, Joe, and say
3 whether or not you feel this is covered?

4 DR. FINS: I think we are talking about the
5 Common Characteristics Section. We want to be a little
6 less dichotomous, you know, those are them, and this is
7 conventional, because there is that whole bio-
8 psychosocial movement in medicine, and the primary care
9 movement, in and of itself, was a counterculture movement
10 in medicine, and the resurgence of family practice out of
11 general practice.

12 All these things are whole body, kind of whole
13 person, nonreductionistic approaches. We also might want
14 to say that in some states even, which maybe it will come
15 up later, is that at least in New York State, I think
16 that the reimbursement that the state gives to residency
17 training programs has a multiplier effect if it's a
18 primary care program.

19 DR. GORDON: What you are saying in this
20 section is to indicate some of the commonalities of
21 primary care and the CAM world view.

22 DR. FINS: And I also would add more recently,

1 the palliative care movement, which is totally total
2 person, and not only person, but the patient and the
3 family approach.

4 DR. GORDON: Is everybody clear on what Joe is
5 asking for, and in agreement?

6 DR. PIZZORNO: I think actually, Joe is asking
7 for a new section, which may be a good idea. I think
8 this one is about commonality of CAM systems within
9 different CAM systems, not with conventional medicine.

10 So if you want to add another section about
11 commonality with conventional medicine, that is probably
12 a good idea, but that is different from what this section
13 is supposed to be doing. This is within the CAM systems,
14 between the CAM systems, not between CAM and
15 conventional. It's page 3.

16 DR. FINS: You know what might be a nice way to
17 bring this chapter together in a kind of concord kind of
18 way, you know, we start with the history initially, and,
19 you know, how we all didn't quite get along, all the
20 tensions and all those perspectives, and then talk about
21 the various CAM systems, but in a sense, there may be
22 more commonalities than the history would have suggested,

1 and that gets us into more of an integrative theme.

2 So if history, what is now Common
3 Characteristics of CAM Systems, and then Shared
4 Characteristics, then a move towards --

5 DR. PIZZORNO: Another section.

6 DR. FINS: A new section, I agree with Joe.
7 It's a way of trying to bring the history back together.

8 DR. GORDON: Linnea.

9 MS. LARSON: I believe Wayne provided a few
10 months ago some kind of visual aid. Didn't you do
11 another one, Wayne? That was one of the suggestions
12 about six, seven months ago.

13 DR. JONAS: I put one in, and then I think,
14 Joe, you modified it to make it more clear.

15 DR. FINS: We should bring that back.

16 MR. SWYERS: We have made several stabs at Vin
17 diagrams. I think it would take a whole subcommittee a
18 week to come up with one that everyone could agree on. I
19 think language might be a little easier way to deal with
20 it.

21 DR. GORDON: I want to get a sense, if we can,
22 of agreement here. What is being suggested is that there

1 be another section, albeit a brief section, that talks
2 about some of the commonalities between some of the
3 developments within Western medicine and some of the
4 development and the ancient history of CAM perspectives.

5 MR. SWYERS: Actually, I would like to suggest
6 a section on convergence, how they are converging. I had
7 made a feeble attempt to do this in an earlier draft that
8 I could resurrect, but I think that is a better way to do
9 it.

10 DR. GORDON: Are we agreed on this now? Wayne,
11 go ahead.

12 DR. JONAS: I agree, I think that is good,
13 however, what I would like to do is I would like to not
14 just add it, I would like to substitute it for this
15 section on common characteristics of CAM, because whoever
16 wrote that I think should be taken out and shot, I think
17 it's terrible, and we should eliminate that.

18 MR. SWYERS: Actually, Wayne wrote some of it.

19 DR. JONAS: I wrote most of it. That's why I
20 can say that.

21 [Laughter.]

22 DR. JONAS: I did write almost all of that

1 section, and I think we should eliminate it, frankly, and
2 we should substitute something along the lines of what we
3 are talking about right now, which is the commonalities.

4 Within that, we can embed some of the reasons
5 people have classified things as CAM or not CAM, which
6 could be a very short thing, rather than trying to
7 describe the details.

8 DR. GORDON: With or without gunpoint, would
9 you undertake the job?

10 DR. JONAS: I won't write the common
11 characteristics, I think Joe should do that, but I would
12 be willing to put in the paragraph saying here is why
13 things have been classified as complementary medicine,
14 the reasons that have been given, very briefly describe
15 that.

16 MR. CHAPPELL: I am wondering if it isn't more
17 helpful to create that list of common interests as the
18 interests of the consumer. I have the term "patient
19 centered," but if we list these things as the longer list
20 today of patient expectations or patient interests, then,
21 neither camp has ownership and then you simply say that
22 CAM has been early in some of these responses, and

1 conventional medicine is also responding with its own,
2 but it takes away the competition and conflict, and
3 places the subject at the center with the consumer
4 driving it.

5 That is the way I would rather see this section
6 structured than either/or.

7 DR. GORDON: Joe, we need to move ahead very
8 quickly. We have a lot to cover. So I would like to get
9 to some kind of closure with this.

10 Joe.

11 DR. PIZZORNO: Actually, Wayne, I don't think
12 that person should be shot. I like what is in here
13 because the idea is what is a commonality within the CAM
14 systems, and I think this sums up pretty well, but I
15 think we can do that commonality with conventional, we
16 can bring in the parts that are appropriate, too, but I
17 think that part of this is who are these CAM people, what
18 is it about them, and that does this.

19 If you remove this, then, we have actually lost
20 our only effort to try and define who this group is, so I
21 really think we need to keep this.

22 DR. GORDON: Joe.

1 DR. FINS: One of the things that might be a
2 helpful distinction for Jim, and I think it is on point
3 with what Tom was saying, that one of the major
4 differences might be a means versus ends kind of thing.
5 If the best of conventional medicine is to enable people
6 to achieve their goals and to give them wellness, and to
7 treat their illness, we might use a scientific means to
8 get there.

9 The distinction between how we got there versus
10 what the goal is might be a way to have that convergence.
11 I like convergence because, indeed, patients or consumers
12 going back and forth are in their own persons converging
13 these different streams.

14 But I think distinguishing means and ends
15 interventions and goals is a way to perhaps reach some
16 convergence, admittedly acknowledging that some of the
17 goals may still be different, and that is where the Vin
18 diagram is not a complete overlay.

19 MR. SWYERS: I would like to suggest a
20 potential solution. We could save this common
21 characteristics, but boil it down into a paragraph or
22 two, put that up with the definitions and description,

1 and then have this history flow into a convergence
2 section, because I think it does flow into that more than
3 it does the way it is structured now.

4 DR. GORDON: Is that acceptable, agreeable to
5 people? Okay.

6 Charlotte, go ahead.

7 SISTER KERR: My comment is just that I have
8 been listening for the emphasis of this. I am not saying
9 it is lacking, and it is probably in another chapter
10 more, but when we talk about the chapter to talk about
11 convergence and separateness and evolution, I just want
12 to request that we are sure we have some of the
13 philosophical developments, that we emphasize that, that
14 we actually are moving into things like ecological
15 paradigms, the re-enchantment of the earth, the
16 understanding that the universe is an organism, that
17 there are probability patterns of interconnectedness.

18 To me, this has influence and informs how we
19 are moving, and I never quite get comfortable feeling we
20 have acknowledged that, because, to me, it also is why we
21 have this issue that goes on with research, just like the
22 concept again of pluralism.

1 These are concepts that come out of real
2 evolution of consciousness that is trying to go on. So I
3 am really requesting that we emphasize that.

4 DR. GORDON: One suggestion I would have,
5 Charlotte, I think that that aspect, that emerging world
6 view really comes under the rubric of holism, that is,
7 holism is one way to describe some of what you are
8 talking about.

9 So in the history of the development of holism,
10 you could address some of these other sort of
11 philosophical, cultural, spiritual issues.

12 DR. JONAS: Exactly, and that forms the
13 foundation for the commonalities that we are talking
14 about and some of the characteristics we want to see
15 emphasized.

16 DR. GORDON: Jim, are you, as the man who
17 discovered China used to say, perfectly clear?

18 MR. SWYERS: Maybe. No, actually, I think I am
19 seeing the light here, the light at the end of the tunnel
20 starting to come through.

21 DR. GORDON: It is important that either you
22 are clear or that you get clear, because we are depending

1 -- and this is true in working with all of the staff --
2 we don't have much time, so what needs to go out next to
3 the Commissioners has to be as full and accurate a
4 reflection of the discussion here as possible.

5 MR. SWYERS: I think between Wayne and I
6 working together and working with you, we will be able to
7 answer all of these concerns. I don't think we will have
8 much of a problem doing that. There is going to be a
9 little more adjustment problems and seeing how it all
10 fits together, but that is always a problem.

11 DR. GORDON: Linnea, what?

12 MS. LARSON: I can provide you technical
13 assistance, too.

14 DR. JONAS: May I mention just one other thing?
15 There are some very nice things written about how
16 research has evolved because of unconventional
17 approaches, and I think you are familiar with those, but
18 there is also a book that has just been published by the
19 Hastings Center called "Pluralism in Medicine."

20 It is specifically on complementary and
21 alternative medicine, and there are some very nice things
22 written about the historical issues and some of the

1 topics we have talked about, so we can get you a copy.

2 MR. SWYERS: I think if you look back to some
3 of my earlier drafts, I did touch on some of these
4 issues, so I can back. I mean we are not going to have
5 to start from scratch to do this. So it's there, it is
6 just a matter of pulling it all together.

7 DR. GORDON: Let's move ahead. Ken, we are on
8 No. 6, I believe.

9 DR. FISHER: Well, I am going to try another
10 ploy since I had two successes and one failure, and that
11 is, the issue of the rise of diagnostic clarity and the
12 rise of pluralism really are a neutral and balanced part
13 of No. 2 on political challenges.

14 DR. FINS: History. History.

15 DR. FISHER: On history, yes, thank you, Joe.
16 So that leads us down to No. 8, which you have already
17 identified as general aspects throughout the entire
18 document. If you agree to that, then, we move to No. 10.

19 DR. GORDON: What I would like to do is talk
20 about No. 8 here and get some clarification of the
21 concerns that people have about CAM rhetoric in this
22 section, so that we can deal with them and make sure we

1 are all on the same page.

2 Joe.

3 DR. FINS: I think the issue of prevalence is
4 important vis-a-vis public interest, so I think if there
5 was no prevalence, we wouldn't be here. That addresses
6 Tom's emphasis, I think, on that. I want to just
7 distinguish the difference, that prevalence should not be
8 in any way equated with efficacy or demonstration of
9 safety or efficacy.

10 There are things that are prevalent, like
11 smoking, which is dangerous, and it is effective in
12 promoting lung cancer and heart disease. So the fact
13 that people do it does not mean it is initially endorsed.

14 So I think we can deal with some of these
15 overreaching statements that somehow say that people
16 perceive it to be efficacious, I mean it has meaning to
17 them, and it is used, but it should not be presumed
18 because it is used, that it is effective.

19 We actually later on say that we need to
20 demonstrate safety and efficacy, and we do come back to
21 research, and the rut in that ground is, you know, it is
22 a \$20 billion endeavor with the NIH funding.

1 I mean Congress, as a body, and the country, as
2 a while, has invested itself in research to great effect,
3 so I don't think we want to go against that. I think the
4 balance here rhetorically is to say it is being used,
5 people really value, it's important in their lives, but
6 as yet, that does not in and of itself mean that it is
7 safe or effective, and what we want to do is strive to
8 have mechanisms that will that use more beneficial and
9 less dangerous.

10 DR. GORDON: This is an issue, Joe, I think you
11 will agree that this is both No. 8 and No. 10 that we are
12 talking about. The specific is under No. 10, prevalence
13 versus efficacy, but it also relates to No. 8, which has
14 to do with rhetoric.

15 DR. FINS: The parity thing is a different
16 issue, I think, which is 10.

17 DR. GORDON: No, we haven't discussed parity
18 yet. No. 10 is also prevalence of use, efficacy of
19 treatment.

20 Let's have comments. What Joe is addressing is
21 an issue that has to do with rhetoric, making a clear
22 distinction between prevalence and efficacy with no

1 implication that prevalence implies efficacy.

2 Tom.

3 MR. CHAPPELL: Joe, I think prevalence needs to
4 still be held up for accountability of safety. It is not
5 either/or, I think it is prevalence and accountability. I
6 think if we start to use the word "accountability," you
7 know, I am not saying CAM is accountable to conventional
8 medicine, I am saying CAM is accountable to public health
9 and the authorities of public health, which is the
10 government, so I think prevalence which is supported by
11 an accountability to safety and efficacy is the point.

12 DR. GORDON: Jim.

13 MR. SWYERS: I think a potential solution here
14 is that we could keep the information on prevalence and
15 usage, but add the caveat that Joe is talking about as a
16 transition paragraph, then, that leads us into the safety
17 and efficacy part, and then we can take a look at that
18 language and make sure that that has been done.

19 DR. GORDON: I would add to that I think this
20 really is here, this is about prevalence. Efficacy will
21 come in, in terms of discussing research, it will come
22 in, in discussing cost-benefit studies. It may come in,

1 in terms of access and delivery, other sections.

2 I think here you are describing what the
3 landscape is, and not saying necessarily that these
4 things work.

5 DR. FINS: The problem is as it is read. I
6 know what we intend to do, but you don't have prevalence
7 for the conventional interventions, and this is not
8 conventional medicine, I admit that, but I am concerned
9 that if we simply have a little caveat that prevalence is
10 not to be equated with efficacy or safety, that it will
11 be a one- or two-line thing, and then we go back and we
12 create the same impression.

13 So I just urge you to be editorially careful.

14 MR. SWYERS: Well, I would ask if you could
15 help us with that language.

16 DR. FINS: It's on the record, I think.

17 DR. GORDON: Joe, one simple thing, for
18 example, is the study that is quoted, and this is the way
19 I would quote it, the M.D. Anderson study, if you
20 eliminate spirituality, the figure is 69 percent, and 100
21 percent of those people are using conventional therapy.

22 DR. FINS: But it is a matter of scope. See,

1 that's the thing. I mean if somebody has gone through
2 two years of chemotherapy, and let's hope they have done
3 well, and they have had surgery and radiation, and their
4 has been unfortunately dominated by that therapeutic
5 endeavor, and they are taking vitamins once a day, the
6 percentages, you say, well, were they using conventional,
7 were they use complementary, it looks like there is
8 parity.

9 So we are not capturing the qualitative, the
10 qualitative difference in the investment, the experience,
11 and that is what gets somewhat misrepresented here.

12 DR. GORDON: Is that clear, Jim? It is not
13 clear. What is not clear?

14 MR. SWYERS: I think it is important to present
15 this kind of information, but how to present it in a way
16 that it is not perceived as stating that CAM is somehow
17 efficacious to these people, I am not quite sure how we
18 can do that without going into the kind of language that
19 Joe was talking about, saying that we have to emphasize
20 that this doesn't mean that because people perceive --

21 DR. GORDON: Are these responses to the
22 question that Jim is raising? Joe Pizzorno, and then

1 Tom.

2 DR. PIZZORNO: I fully concur with Joe's point
3 that we don't want to imply that prevalence is indication
4 of safety or efficacy. I think the purpose of putting
5 prevalence is to show why the work we are doing is so
6 important, because, indeed, a lot of these things are
7 being used. The medical practitioners don't know they
8 are being used, and they can clearly impact the efficacy
9 of the therapies being used and the safety of those
10 therapies.

11 So, clearly, the fact that they are being used
12 is what is important, not whether they are safe and
13 efficacious.

14 DR. GORDON: Tom.

15 MR. CHAPPELL: I think the Historical Section
16 needs to deal with prevalence, and it needs to also deal
17 with the current concerns for safety and efficacy, so I
18 think it is sequential and it all leads right into the
19 rest of the report.

20 DR. GORDON: Jim, how are you having heard
21 these two pieces?

22 MR. SWYERS: I think we can improve on it, but

1 I think it will take people like Joe to look at the
2 language and make sure that we have done it properly.

3 DR. GORDON: What is emerging here is that on
4 certain sections, it is clear that commissioner input
5 will simplify the process and make it much more likely to
6 succeed. So I am wondering if we can rely on Joe, for
7 example, for you to lend some help here.

8 DR. FINS: I totally endorse that involvement,
9 but I am very concerned about the logistics of this, the
10 time frame, our availability, when we are going to get
11 these documents, and I think that we haven't even gotten
12 to a single recommendation yet, and there is a massive
13 amount of work to do.

14 I think we need to just, as they say, take a
15 time out, and get a sense of just the game plan, because
16 it is not enough that I am on-board, and then Tom has got
17 to have a look at it and make sure that we haven't, you
18 know, in moving in one direction, offended another
19 commissioner's perspective.

20 DR. GORDON: Which we will. What I want to do
21 is over lunch we are going to discuss some of the
22 logistics of this. I want to move through this section,

1 finish this section, then go to lunch, and then talk
2 about how we are going to work on recommendations and the
3 rest of it over lunch, and then manifest it this
4 afternoon as we get into the sections with
5 recommendations.

6 MR. SWYERS: Jim, could I ask a quick question?
7 Is the feeling we need to enhance the prevalence, but
8 that it needs to be presented in a very delicate manner,
9 in an objective manner?

10 DR. FINS: I think prevalence is why we are
11 here, but I think the way it is currently drafted, it
12 would suggest greater efficacy based on those good
13 prevalence numbers, that has yet to be proven, and it's
14 oppositional, too.

15 MR. SWYERS: So, would it be better if we
16 prefaced the information on prevalence with those kinds
17 of caveats rather than as an afterthought? Would that be
18 better?

19 DR. FINS: Not to recapitulate what we said,
20 but on page 7, line 7, it is because conventional
21 medicine was slow on developing adequate treatments da-
22 da-da, all these other things happened, and they are

1 responding to a need that conventional medicine did not
2 meet, and that is the kind of setup that sets this whole
3 thing in opposition.

4 MR. SWYERS: I have agreed we are going to
5 address those kinds of things, those kinds of concerns.

6 DR. GORDON: Any other issues on the rhetoric?
7 Yes, Veronica.

8 MS. GUTIERREZ: Before we get too far away from
9 safety and efficacy, as it relates to CAM, I would like
10 to say there is probably many people in this room that
11 feel that there are questions about safety and efficacy
12 in drugs and surgery, as well, so if we are going to
13 discuss safety and efficacy, I would like to have it put
14 in perspective of that is an assumption that we will use
15 in addressing all approaches to health and disease.

16 DR. GORDON: Any response from other
17 Commissioners?

18 MS. LARSON: I don't understand what you just
19 said, Veronica. Could you repeat it, please?

20 MS. GUTIERREZ: We are talking about safety and
21 efficacy. There is no drug that you take that doesn't
22 have some safety factors and risks. The same for

1 surgery, you go under general anesthesia, there are
2 risks. So we need to address the whole picture and not
3 put CAM aside as a separate concern.

4 DR. GORDON: Any comments? Effie, you had your
5 hand up.

6 DR. CHOW: A simple one.

7 DR. GORDON: On this particular document that
8 Veronica has raised?

9 DR. CHOW: No, I agree with her.

10 DR. GORDON: You agree with her. This is
11 something that has been sort of around since we began,
12 how do we deal with issues of safety and efficacy with
13 regard to both CAM and to conventional approaches, do we
14 need to make a general statement, which we do in the
15 Research Section? I think very clearly, we say the same
16 standards. Do we need to make a statement in this
17 section is the question Veronica is raising, about same
18 standards for conventional medicine as for CAM.

19 Tierona.

20 DR. LOW DOG: The concept is very good, but yet
21 if you have been in a car accident, and you are going in
22 for emergent surgery to save your life, although there is

1 risk of anesthesia and there is risk from the surgery,
2 the necessity of that intervention is high.

3 When you are talking about health promotion,
4 and taking dietary supplements or vitamins and herbs that
5 may or may not promote your health, may not contain what
6 they are supposed to contain, may be adulterated,
7 contaminated, et cetera, that is a very different risk-
8 benefit.

9 So, I think that safety and efficacy is very
10 important, and all health care providers should choose
11 the least toxic, but efficacious treatment for the
12 particular condition. I think if we make those kinds of
13 statements, that that is where we should be moving, the
14 least toxic, but efficacious, realizing that sometimes
15 for it to be efficacious, there may be greater risk.

16 DR. GORDON: My question on the floor is do we
17 need to make that kind of statement in this section, and
18 if so, how.

19 DR. FINS: To add to Tierona's point, if you go
20 to page 12, lines 16 through 18, the ethical doctrine
21 that Tierona is referring to is proportionality and risk-
22 benefit ratios.

1 So, we will assume a huge risk if there is a
2 greater benefit, and we will not be accepting any risk if
3 there is no benefit, and yet, if you look at line 16,
4 "despite evidence that CAM systems, approaches, and
5 products are effective," okay, you have categorically
6 said that it is effective, we have not studied safety,
7 when, in fact, we haven't proven efficacy at any kind of
8 -- I mean if a well person takes a supplement that they
9 did not need, that is yet to be proven to be safe, and
10 has a bad outcome, even if the incidence of that is very
11 low, it is an unacceptable situation.

12 That is what I think we are trying to say.

13 DR. GORDON: Joe, I hear you, but how would you
14 deal with this issue? I understand what you are saying
15 about those sentences being inappropriate, and how would
16 you deal with the issue that Veronica raised? Would you
17 talk about a doctrine of proportionality, or would you
18 just let it go in this section, not include it here?

19 DR. FINS: I think what we need to say is that
20 risk and -- and I just said it, and it's on the tape --
21 but risks and benefits have to be understood in their
22 relationship to each other, and that's true for every

1 intervention that we do.

2 DR. GORDON: Understood. I am asking you, does
3 that need to be said in this section or not? That is the
4 question on the table right now.

5 DR. FINS: I think again the Blendon paper that
6 we keep on citing suggests that the American public, not
7 the people who testified here, but the people who were
8 randomly selected, who did not select themselves, to show
9 up at commission hearings, which is a selection bias,
10 they want more regulation because they want safety.

11 DR. GORDON: I understand.

12 DR. FINS: So, if we are taking the consumer
13 mantra and what the American public wants, and we are
14 being responsive to their needs, then safety is part of
15 the reality.

16 It is also true, I should just say, and you
17 could say also the IOM report crosses the quality chasm
18 and to err is human are also massively concerned about
19 error and bad outcomes in the conventional side.

20 DR. GORDON: Please, come back to my question.
21 Should it be in this section?

22 DR. FINS: Yes, I think it is a major driving

1 force for why we are here.

2 DR. GORDON: Good. Thank you.

3 Effie.

4 DR. CHOW: On rhetoric --

5 DR. GORDON: I want to talk about rhetoric, but
6 I want to get some kind of clarity on this. Joe and
7 Veronica agree, and Tierona agrees, that there needs to
8 be a general statement about issues related across the
9 board to benefits versus risks here in interventions.

10 Is this something that the Commission feels is
11 appropriate in this section? I just want to get that
12 nailed down, so speak, pinned down, and then have people
13 come back to the rhetoric.

14 MR. CHAPPELL: On this point, I would like to
15 see this common standard that Veronica is talking about
16 addressed from the point of view of the accountability to
17 public safety, that whatever system is employed, that
18 that system, by nature of government authority, the
19 government holds every system accountable for safety and
20 efficacy.

21 DR. GORDON: Is everybody agreed on this?
22 Tierona.

1 DR. LOW DOG: You even have under the crossing
2 the quality chasm, No. 6, safety as a system property,
3 and it talks about patients should be safe from injury
4 caused by the care system, and I think you could just
5 take that and add a few sentences around it that talk
6 about that, and include it, and I don't think we have to
7 have a lot more.

8 DR. GORDON: Thank you. We are in agreement on
9 this? Good.

10 Julia.

11 MS. SCOTT: I just felt this whole discussion,
12 addressing Joe's and other people's concern about balance
13 would mean that we would point, as an example, we would
14 point out if there was imbalance in one system and
15 another as we are trying to get to convergence.

16 I mean clearly you can't just talk about what
17 is lacking in safety and efficacy in CAM, without saying
18 that there are also these things. This is the dilemma,
19 there are also these things in other systems.

20 DR. FINS: Maybe one way to do that is to say
21 that there has been a concern about safety in two IOM
22 reports, and that concern for safety with respect to

1 conventional medicine really transcends the genre and
2 should be applied to CAM, as well.

3 DR. GORDON: Thank you, Joe.

4 Let's move on. I think we have it. Jim, we
5 have it?

6 MR. SWYERS: Yes.

7 DR. GORDON: Effie, you had a question about
8 rhetoric. Any other issues about rhetoric? Rhetoric is
9 still very much on the table. We have heard some of the
10 concerns. Do you have any others, Linnea, anyone else?

11 DR. CHOW: I just want to make one point here,
12 is that we talk about rhetoric, but we do have some
13 statistics in here that talk about the success of CAM
14 over conventional medicine, and the one that people keep
15 referring back to is page 7, partly because conventional
16 medicine has been slow in developing, but the page
17 before, it gives an example about chronic lower back
18 pain, not very effective, and if you look through, there
19 are indications of studies.

20 So, I think if you think a statement is
21 rhetoric and an overall statement, should look back on
22 what is stated as statistics given here. I don't think

1 this is rhetoric as it has been put out to be, again,
2 modifying that.

3 DR. GORDON: I am trying to understand exactly
4 what you are saying. Are you saying that the lines 12
5 through 15, because they are based on data, are not
6 rhetoric, but it looks like -- maybe this is my
7 interpolation -- line 7 on page 7, for example is
8 rhetoric?

9 DR. CHOW: I am saying that that has been
10 pointed out as being rhetoric, and I think different
11 parts in the section are stated as being rhetoric without
12 reference back to the fact that there have been some
13 statistics given.

14 They said, "For many chronic conditions" --
15 page 6, line 11 -- "For many chronic conditions,
16 conventional medicine," et cetera, "provide only modest
17 gains," and it gave an example, not going into many
18 examples.

19 DR. GORDON: I would say that there is a
20 difference between the two statements, that that
21 statement on page 6, first of all, there is significant
22 evidence to back it up. The statement on page 7, though,

1 it is sort of an attribution almost of intention, which I
2 think is unfair. It is not that conventional medicine
3 hasn't been trying to find better solutions, it is just
4 that there have been limitations in the solutions they
5 have come up with.

6 So, I think that is an example of rhetoric.

7 MR. SWYERS: Jim, I think we can just say
8 "because of the slow pace."

9 DR. CHOW: It doesn't say it is incapable.

10 [Simultaneous comments.]

11 DR. GORDON: One at a time.

12 DR. PIZZORNO: I have some wording for that,
13 that I think would work well, and that is simply say,
14 "Because of the growing incidence of chronic degenerative
15 disease." That way, we say there is more of it, we are
16 not assigning blame, but it is driving the public to
17 looking for more solutions.

18 DR. GORDON: Thank you, Joe.

19 DR. LOW DOG: I just also want to be careful
20 when we have cited things, such as hypertension, heart
21 disease, and diabetes, and even arthritis now, talking
22 about only modest gains, and being careful, again using

1 references that are 20 and 30 years old.

2 I think the last 10 years have been really
3 phenomenal at new drug therapies that we have, which is
4 not to suggest that there is not an incredible role
5 potentially for CAM therapies in here, but I think that
6 is another form of rhetoric that is also just not
7 reflective of where we are today with current therapy.

8 DR. FINS: You have, like on page 12, lines 1
9 and 2, it's an oxymoron, "confirmed the potential
10 benefits." If it's a benefit, it has been confirmed; if
11 it's potential, it is yet to be confirmed. Anybody who
12 reads this from sort of a sophisticated point of view,
13 heart disease, it looks like it is like a 9th grade
14 biology report, heart disease. I mean there are all
15 kinds of heart diseases.

16 There is no specificity here, and in a sense,
17 you are hurting your argument by giving this as evidence
18 of efficacy.

19 DR. LOW DOG: The thing on heart disease was
20 reduced or modified fat diet, so maybe it would be better
21 just to put -- that that is what the Hooper paper is
22 actually on. We need to be careful when you are talking

1 about -- the reason we have added potential benefits is
2 because the back pain and headache were not conclusive in
3 their findings.

4 Then, depression with St. John's wort, the
5 Little and Parsons was glucosamine. So, maybe actually
6 saying glucosamine for arthritis, reduced or modified fat
7 diet for heart disease, so it is a little more specific
8 in there.

9 DR. GORDON: I like that very much. We are
10 okay? Great. Thank you, Tierona.

11 Joe.

12 DR. FINS: Even that reference that he just
13 gave us, dates back to Framingham. It is not CAM.
14 Reducing fat in your diet is a public health
15 intervention. It has been Healthy People going back
16 2000, et cetera, and it is not CAM. So, to allege that
17 it is -- it's a category error.

18 MR. SWYERS: These are all Cochrane systematic
19 reviews in the Cochrane's CAM library.

20 DR. FINS: That is how they have categorized
21 it, but the question is --

22 DR. GORDON: I think we have whole categories,

1 some would call it CAM, some would call it conventional.
2 I don't think we can arrogate it totally to CAM, and then
3 there is the whole argument about how low a fat diet, the
4 argument that Dean has versus extremely low fat diet
5 versus not so low fat diet.

6 DR. FINS: But you are using this as evidence
7 base. You know, the sentence that precedes this is the
8 CAM approach.

9 DR. GORDON: Why not say specifically what the
10 approaches are, and not label them CAM or not CAM? So,
11 the benefits of nutrition and exercise is here. The
12 benefits of glucosamine is there. The benefits of
13 whatever it may be, St. John's wort is there.

14 Is that a way to --

15 DR. FINS: This is an important distinction. I
16 mean we are talking about glucosamine, we are talking
17 about St. John's wort. Those things, I think are
18 understood as complementary and alternative. But I am
19 not sure that diet and exercise and fat reduction in your
20 diet is CAM.

21 I mean there are lots of mainstream
22 cardiologists and epidemiologists from the public health

1 arena who would see that as part of conventional
2 practice.

3 DR. GORDON: Why not just say these lifestyle
4 changes, and don't call them CAM, just call them what
5 they are.

6 DR. FINS: Then, you can't use it as evidence
7 of the benefit of CAM.

8 DR. GORDON: What we are interested in is
9 trying to promote the larger perspective, Joe, as I see,
10 is what is healthy for the American people. If diet and
11 lifestyle --

12 DR. FINS: We don't disagree that that is a
13 good thing. What I am disagreeing with is how it is
14 being used as a justification here in the efficacy, but
15 we will see how it turns out in the next draft.

16 DR. GORDON: I think it is partly a question,
17 as long as it is not seen -- well, this is a long
18 discussion, I don't want to get into the whole
19 discussion.

20 DR. LOW DOG: I think the point we are going to
21 keep coming back to it, though, is that because of the
22 overlap that exists between complementary and alternative

1 medicine and conventional medicine, there is going to be
2 this sort of conflict, that CAM is co-opting things or
3 otherwise.

4 I think we are going to have to address this at
5 some point. I know there is a number of Ph.D.'s or
6 clinical nutritionists who have been sort of pounding the
7 pavement, doing a lot of this research for 30 years, long
8 time, who may have felt like they were CAM looking at how
9 they were viewed, but they are very much within the
10 conventional setting.

11 I think that we are going to have to address
12 this somewhere, and it is one of -- like the CAM
13 rhetoric, it is something that is sort of throughout the
14 document that we are going to need to come up with some
15 language for.

16 DR. GORDON: I agree. Maybe one of the ways,
17 though, is in this section on convergence, that part of
18 the convergence has to do, not just with bio-psychosocial
19 approach, but with the emphasis on nutrition, exercise,
20 and stress management, not just as ancillary, but as
21 primary therapeutic interventions. That is a difference.

22 I think that the world view, the more holistic

1 world view has pushed these ahead and helped to make them
2 more powerful, but they are not exclusive to CAM, and it
3 is a place where they do converge, and maybe if we can
4 talk about the convergence in a way that is thoughtful,
5 that will help lay the groundwork, so we won't be mired
6 in disputes.

7 DR. LOW DOG: Let me just throw out this here.
8 To say that a reduced or modified fat diet is CAM, you
9 are also going to have the other parts of CAM that are
10 also under CAM, that believe that it is a high-fat, high-
11 protein diet, that is most beneficial.

12 So, within the umbrella of CAM, you have very
13 diverging opinions about nutrition, and one would have to
14 really argue that much of this research that has been
15 done on reduced or modified fat diets have really been
16 done through the conventional research, and that right
17 now, CAM nutrition is kind of all over the board.

18 What most of us would agree is a healthy diet
19 is not shared by all people that fall under the rubric of
20 CAM.

21 DR. FINS: Brown and Goldstein won a Nobel
22 Prize for characterizing cholesterol and fats. So this

1 is mainstream science, this is not CAM. But I do think
2 what we need to say here is that CAM, as a public
3 movement, has mobilized people's adherence to healthy
4 lifestyle.

5 In other words, CAM doesn't get credit for the
6 lipoprotein (a) receptor. It gets credit for people
7 thinking about I shouldn't have another steak, I should
8 eat 2 percent fat-free milk or something. It's a
9 sociological influence perhaps that takes the best of
10 science and mobilizes healthy behavior. That is where it
11 is a win/win for both sides.

12 DR. GORDON: Are we comfortable with this?
13 Okay, great. Let's move on. Jim, are you clear,
14 perfectly clear?

15 MR. SWYERS: Yes.

16 DR. GORDON: Ken, where are we now?

17 DR. FISHER: Well, Issue No. 11. Making a
18 suggestion, Issue No. 11 is going to come up under
19 Research, so maybe it should be discussed there. No. 12,
20 the Sociology of Illness, I don't know whether you have
21 discussed or not.

22 DR. JONAS: First of all, we already addressed

1 one issue, which is the importance of saying how modern
2 research in conventional medicine did, in fact, cause a
3 great rise in the interest and use of it, and that
4 complementary medicine then was kind of pushed
5 underground with that. I think that is what this is
6 addressing.

7 Maybe I already said this, I apologize if I
8 did, but I think there is some very good evidence that
9 looking at these areas has allowed us to develop new
10 methodologies and have evolved research.

11 So in the historical section, a reference and
12 maybe a sentence or two on how the evolution of research
13 methods has occurred because of the exploration of
14 unconventional types of practices, blinding
15 randomization, et cetera, for example, have evolved, and
16 then were eventually brought into the mainstream because
17 they were first used looking at complementary medicine,
18 and this type of thing is continuing now in terms of
19 trying to come up with standards.

20 DR. GORDON: So, history, part of that history
21 is the history of the development of research you are
22 saying?

1 DR. JONAS: Correct.

2 DR. GORDON: Is everybody okay with that? Is
3 that being included? Okay. Ken, do we have anything?

4 DR. FISHER: Number 12.

5 DR. GORDON: Number 12. Sociology of Illness.
6 Tierona.

7 DR. LOW DOG: I don't really know what it means
8 exactly, what it was intended for, but when I read
9 through some of the prevalence data here, I am also
10 struck by cancer, HIV, pretty severe illnesses, and maybe
11 this is part of the sociology of it, but why, when you
12 are confronted with something that is very terrifying,
13 why, people may be exploring lots and lots of way, I am
14 going to cover all of my bases, I don't know if it is
15 going to work or not, the studies haven't been done, but
16 I am going to incorporate it into my belief system.

17 I think there is a tremendous amount of fear
18 and frustration when people are confronted with these
19 life-threatening illnesses, and that may be a part of
20 this. I am not sure we have really captured that in this
21 document.

22 DR. GORDON: So, a kind of framing the

1 discussion of prevalence with the discussion of what the
2 psychology is of people who are using these therapies.

3 Tom.

4 MR. CHAPPELL: Actually, it doesn't feel right
5 to me. I am glad Joe has returned, because Joe brought
6 this subject up. When Joe was making the point, I didn't
7 agree with it.

8 I think prevalence is simply a function of what
9 consumers feel they need, and they seek out solutions. I
10 don't think it has anything to do with whether they feel
11 comfortable about finding out the truth from their
12 conventional medical doctor. I just don't buy that
13 premise at all.

14 They are solution seekers. That was Joe's
15 premise. I don't buy that premise, these are solution
16 seekers, and that is why prevalence has occurred, and I
17 think this runs the risk of sending us into an area that
18 is really highly interpretive and irrelevant. I would
19 strike it.

20 DR. LOW DOG: Keep the prevalence, but not try
21 to explain why, just to leave the data.

22 MR. CHAPPELL: Yes.

1 DR. FINS: I think it's probably best to strike
2 it, but I do think maybe a kind of glancing mention to
3 why -- I think this is why we really needed a sociology,
4 sort of historical overview at the beginning, because
5 people seek out these things for all sorts of reasons,
6 and empowerment. I mean people made allusions to the
7 feminist movement, the civil rights movement, the rights
8 movements of the sixties.

9 People are empowered, and a lot of people have
10 written about the empowerment movements. This is a kind
11 of empowerment. It also gives people access to the
12 fruits of science on their own terms.

13 I think there are also people who seek out, and
14 we heard about desperately ill people seeking out CAM
15 interventions because they are unwilling to give up. So,
16 I think there are all kinds of reasons why people do
17 this.

18 Ultimately, the bottom line is it becomes --
19 the markets reflect these individual needs in the
20 aggregate. It's a complicated story, maybe it's too
21 complicated to get into here.

22 DR. GORDON: It's getting to be time for lunch.

1 I want to see where we are with this particular issue,
2 and this is the last issue on this chapter, and I would
3 like to come to closure here, go to lunch, and then we
4 will come back and begin with the other sections
5 afterwards.

6 Effie.

7 DR. CHOW: I believe in the cautiousness and
8 the quality and all of that about efficacious and safety,
9 and all that. One thing we haven't really emphasized,
10 and we have watered it down, is that people really do
11 look to CAM, and they have been getting better.

12 In my work, I work with thousands of people,
13 not just my own work, but with other practitioners. This
14 is part of my life. I would say I see 1,000 people every
15 month in contact somehow, input to me, et cetera. People
16 seek it, not just to feel a little bit better, or just
17 seeking like out of desperation.

18 Partly from desperation, but they are having
19 side effects from drugs, and they are tired of not having
20 the personal attention, and not having anybody to talk
21 to, and we have said some of these things, but I think we
22 keep watering this part down because we worry about

1 efficacy and safety.

2 So, cancer patients have gotten better because
3 they have sought megavitamins and supplements, and all
4 this, so we need a balance on that, that we really say
5 very clearly that -- and there has not been -- there have
6 been studies. Dean Ornish's study is one example, but
7 there are some other studies, but there is not enough
8 funding that is put in, so it goes back to research.

9 But I don't think we should diminish the fact
10 that prevalence -- prevalence of usage means people are
11 happy. If it increases, they cannot because they are
12 getting side effects from taking these things.

13 DR. LOW DOG: I would just have to disagree
14 with that. I think if we are going to scratch comments
15 about reasons why are things, we need to do that, and I
16 actually think that is probably appropriate, because
17 nobody can really guess the reason why people use and
18 turn, unless it was directly part of the survey and part
19 of the research. We would just be speculating then.

20 I am not sure. Obviously, people are getting
21 something from what they use, but again, I think we are
22 over-speculating, so I would resist from using anecdotes

1 and resist from putting more into the prevalence than
2 what it is, because we have not mentioned the driving
3 influence of the marketplace.

4 There is a tremendous amount of advertising.
5 There is a tremendous amount of misleading advertising
6 out there as we heard from the FTC. That drives the use
7 of products and services.

8 I think if we want to just keep it short and
9 sweet, we list the prevalence, we don't try to get into
10 the reasons for why people or this movement is moving,
11 because it's multifactorial, but to leave it kind of as
12 it is with just the qualifier that prevalence we are not
13 say indicates efficacy.

14 DR. GORDON: Joe.

15 DR. FINS: It cuts both ways. It is just sort,
16 you know, why people do what they do, and we do lose
17 something, because I think some of the changes in
18 conventional medicine, the rise of managed care, the
19 change in the doctor-patient relationship, the decline of
20 the family practitioner, specialization, the impersonal
21 quality of the medical encounter has led many people to
22 the complementary and alternative practitioner.

1 Both sides are responsible for all this, but I
2 think it is so complicated, to do it justice would
3 require a full report, and it opens up, as Dean would say
4 if he were here, all kinds of red flags that we just
5 don't need to navigate. The prevalence does speak for
6 itself.

7 DR. GORDON: Charlotte.

8 SISTER KERR: I hesitate to speak, and I will,
9 and I would like my fellow commissioners to listen,
10 because I think I am really just again speaking back to
11 my bias for how to proceed in general in terms of a
12 philosophical basis and world view, because I feel when
13 we get into these issues, again back to research, that it
14 is true, and this is why I ask you to listen.

15 If we speak out of a shifting consciousness in
16 the population and a different world view, we do begin to
17 evolve, and I know -- I guess we don't have the research
18 for this -- in what therapies we choose.

19 I will give you a clinical example. If a woman
20 has a vaginal yeast infection, and the world view is one
21 of cause and effect, reductionistic, you say why in the
22 world would you not use Diflucan, you get rid of it, it

1 is gone, that's it. There is no consequences.

2 You perhaps might say, if you have a different
3 world view of relationship and ecological, you might say
4 I am going to look at the pH balance, I am going to look
5 at my food, I am going to look at probiotics, I am going
6 to think about what is my relationship to myself and to
7 everything else.

8 It is the same reason as an acupuncturist, when
9 I see research with osteoarthritis of the knee, and I put
10 a study that the needle goes in the same place for
11 everybody, I have absolutely no way to relate to that as
12 an acupuncturist, because it's the valuing of the
13 anthropological research, it's all the new models of
14 research that, you know, God knows, Wayne has listened to
15 me go on about this forever, and I frankly think this --
16 why we want to talk about convergence, we absolutely have
17 a diversion because of shift in consciousness. Thank
18 God.

19 I go nuts when I see Diflucan on Prime Time
20 Network ads. I think it's the biggest women's issue
21 going. So, when I hear, you know, the research models
22 and the same bloody thing coming out, and it is probably

1 what I said two years ago, I just go, to me, it looks
2 like a big compromise we are doing.

3 DR. GORDON: I think it's getting to be time
4 for us to go to lunch. I would like to make a suggestion
5 and see how this sits with people.

6 There is research evidence published in peer-
7 reviewed journals that shows (a) that people are looking
8 at these approaches when they have chronic illness, (b)
9 that the world view of the practitioners is congruent --
10 this is Astin's research -- with the world view of the
11 people who are looking for help, and (c) that people feel
12 some sense of empowerment, as Joe suggests.

13 I would suggest that we can talk about those
14 three as background issues without saying that they are
15 defining, and that those three at least, and also the
16 fourth issue has to do with more time spent, and I think
17 that we are on safe ground as far as research goes if we
18 say those things without saying necessarily that X, Y, or
19 Z works, but at least these are clear reasons that are
20 backed up by prevalence data and also backed up by the
21 research data that has been done on motivation of people
22 using these therapies.

1 David.

2 DR. BRESLER: I agree with you as long as
3 prevalence data is suggestive and scientific data that is
4 demonstrative, and as long as we keep that distinction.

5 DR. GORDON: It sounds great, but tell me what
6 you mean.

7 DR. BRESLER: Well, prevalence data can suggest
8 certain things. Controlled studies demonstrate that
9 there is an effect there.

10 DR. GORDON: I am not saying there is an
11 effect. I am saying that prevalence data demonstrates
12 that people are using the therapies for specific
13 conditions, that's all.

14 Is everybody okay with this way of approaching
15 it without imputing other motives that we don't know
16 about, I think it covers most of the bases that people
17 are concerned about.

18 DR. LOW DOG: Right, because in the Research
19 Section, one of the things that we requested research on
20 because we found the data to not be very conclusive was
21 why do people use and turn to alternative and
22 complementary therapies, and what do they find

1 satisfactory and not satisfactory about it, and we
2 dedicated a section on that, because we feel that this is
3 an area that really does need to be explored more fully,
4 but we felt that looking at the available research out
5 there today, it is not very substantial.

6 DR. JONAS: I agree. I mean this is an issue,
7 the issue of trying to get at values, and what are the
8 values that motivate individuals can be investigated.
9 That is all prevalence data, it is all extremely useful,
10 it is all very important, and it has to be balanced and
11 hopefully guide what you then study.

12 There are two different categories of research
13 that really are looking at different questions, providing
14 different types of information, and that is something
15 that could be better clarified in the Research Section,
16 but one could point to it.

17 DR. GORDON: That might be something to come
18 back to when we come to the Research Section.

19 Tom.

20 MR. CHAPPELL: Jim, in the four points of
21 motivation that you raised, I didn't hear you mention
22 prevention or health maintenance, but I assumed in that

1 topic of congruence.

2 DR. GORDON: I think that in order to bring
3 that one out, we need to present the data on it. This is
4 one of Linnea's concerns. The date on health promotion
5 is not in here in this section on that. Unless we
6 present that data here, we cannot mention it here.

7 If we present that data, and pull some of that
8 data from the Wellness Section back, then, I think we can
9 fairly mention it. So, that is a task, Jim, for you and
10 Corinne perhaps to look at and see if we can do some of
11 that.

12 DR. LOW DOG: So, on the Sociology of Illness,
13 sort of what I have heard is that we are going to leave
14 the prevalence data, we are not going to try to expand on
15 a lot of the reasons why that people are using it, and we
16 may something to the effect of, you know, the many
17 reasons that people explore complementary and alternative
18 medicines is not completely understood, but then you may
19 want to quote world view, more time spent, some of those
20 things that there is some data for, and then we will go
21 through the prevalence, and we will leave it at that.

22 DR. FINS: And then maybe conclude by saying

1 that really to further direct public policy in this area,
2 additional research is needed to understand all the
3 reasons why people seek out these interventions, and this
4 will be addressed in the Research Section, which sets it
5 up later on, the demography of CAM use.

6 DR. GORDON: Thank you. We have come through
7 the first two sections. We are going to take a break for
8 lunch. I want to introduce to the Commissioners, Tripp
9 Fair, Bill Fair's son, who is here.

10 We welcome you, Tripp. It is great to see you.
11 Please come have lunch with us. We will be eating lunch
12 in the next room.

13 We are going to take an hour break for lunch,
14 and then we will be back, and we will start with Research
15 this afternoon at 1:20.

16 I apologize to those who came expecting
17 Research in the morning. We are moving ahead with
18 deliberate speed.

19 Thank you, everybody.

20 [Lunch recess taken at 12:20 p.m.]

21 + + +