

1 safety throughout this document, that people have the
2 right to have safe products, but I'm not sure that I
3 trust every manufacturer to have the safety data
4 available in a file someplace.

5 DR. GORDON: Any other thoughts? Joe.

6 DR. FINS: There are two issues. I understand
7 Tom's point and I think that it works fine when
8 everything is fine, but when there is a problem and there
9 is an adverse event, and a manufacturer is no longer in
10 business, or there is a weekend and there is a tryptophan
11 sort of story, and we are trying to figure out what the
12 problem is, it would be nice if some of that information
13 was on file and readily available.

14 So I think there are really two issues. I
15 mean, I think the manufacturers should be required to
16 keep a file, but there has to be a way of accessing it in
17 a situation that is emergent.

18 DR. GORDON: Joe, do you want to provide some
19 wording that might cover that?

20 DR. FINS: Well, I think that maybe it is
21 complicated, and I think there are competing interests
22 here. Maybe we should leave it as is at this point and

1 say, we recommend that the FDA consider mechanisms,
2 feasibilities, working with industry to coordinate this
3 process," or something.

4 In other words, not to say that it has to
5 happen right now, but to figure out a way to achieve this
6 end. I don't have language for you.

7 DR. GORDON: Joe, and then George DeVries.

8 DR. PIZZORNO: I think this is an incredibly
9 important issue because we want to facilitate the public
10 safety, but we also don't want to put barriers in the
11 place of good quality products being available to the
12 public.

13 I'm thinking about, we want to help the public
14 and the manufacturers of high quality differentiate
15 themselves in the eyes of the public and practitioners
16 from those that are low quality, and that is a real
17 problem right now, as I think we are all conscious of.

18 I wonder if we could have in this -- I'm kind
19 of thinking out loud here -- some kind of a voluntary
20 submission of safety data to the FDA that could then show
21 up in some way on the person's label or package
22 documents, so that they are showing to the public that

1 they really are taking a next step to being more safe and
2 have higher GMPs in the development of their products.

3 I'm not sure how to word that, but it is a
4 concept.

5 DR. GORDON: George, and then Dean.

6 MR. DeVRIES: I think the issue of safety is
7 the primary issue here, and yet we are trying to come up
8 with a mechanism. The mechanism that is being
9 recommended, I hesitate on it also, and for some of the
10 reasons that Tom has mentioned. I think it also places a
11 great burden on the FDA. I am not sure they would see it
12 has having the same value as maybe some of us would.

13 I am going to suggest, maybe, that we encourage
14 a process such that the outcome is assurance of safety.

15 DR. GORDON: What would that process be?
16 Because I think we have to come to some kind of
17 conclusion.

18 MR. DeVRIES: Perhaps FDA and/or HHS task force
19 to evaluate and to come up with a solution to ensure
20 safety.

21 DR. GORDON: Okay. We can come back to
22 specific wording.

1 DR. ORNISH: Well, we are not talking about
2 efficacy, we are just talking about safety, and I think
3 that it seems to me almost a given that if you -- it is
4 like we are for world peace in a way, as David was
5 saying.

6 I mean, who could argue against having safe
7 things that say what is on the label? I mean, I am not
8 sure why this is even controversial. If we are asking,
9 and we may not necessarily want to specify it is the FDA
10 or whomever, we should say an appropriate government
11 agency should be responsible for making sure that these
12 things contain what they say they contain, and that they
13 are safe, period.

14 DR. GORDON: The recommendation here, though,
15 is directed toward the manufacturers. So that has a
16 different spin on it.

17 DR. ORNISH: Well, it is both. I mean, it is
18 both the manufacturers, but it is also the regulation of
19 the manufacturers. You can't have one without the other.

20 We are not asking this to be done voluntarily,
21 and I think it is entirely appropriate that both the
22 manufacturers be held accountable and that they be

1 regulated to make sure that what is in the product is
2 what is on the label, and vice versa, and that it is
3 safe. I can't imagine anyone would be against that.

4 DR. LOW DOG: I agree with you. There are a
5 couple issues here. One is the GMP will take care of
6 making sure that what is on the label is what is in the
7 bottle, so that is covered under GMP.

8 Safety is a different issue, though. Trying to
9 have adequate toxicology studies for acute and subacute
10 and these kinds of things, most of them have not been
11 done for these products, and so when you are requiring
12 safety data, how much is going to be the standard and
13 what kind of studies need to be done, what would have to
14 be supplied by a manufacturer, because the Dietary
15 Supplement Health Education Act of 1994 has put these
16 into a different sort of category.

17 So I need to tell you that I don't argue
18 against safety. I am absolutely for safety, but right
19 now, we just seem hog-tied. I mean, we can't even come
20 up with ephedrine guarana answers.

21 Maybe the best thing, at this point, is to
22 require manufacturers to maintain records of this, and

1 that we have a recommendation that states that HHS or
2 FDA, or collaborations of these agencies, create a task
3 force to address the potentially serious problem here
4 that we have with inadequate safety data and the fact
5 that it is not submitted to the government.

6 DR. GORDON: I would like this, if we could, in
7 the form of wording that would address these issues.

8 Tom.

9 MR. CHAPPELL: It seems to me, again, the
10 burden needs to be on the manufacturer to establish
11 safety. Now, I want to maintain flexibility for the
12 manufacturer in so doing because there are many
13 manufacturers that are responsible, and I want to
14 maintain their flexibility.

15 The idea for me would be to simply publish that
16 safety data to the FDA, to simply put it on file with the
17 FDA. It is not getting permission, it is just filing its
18 safety data with the FDA. So it would say:
19 "Manufacturers should make available to the FDA their
20 scientific information to substantiate their
21 determinations of safety."

22 DR. GORDON: Tieraona.

1 DR. LOW DOG: My problem with that would be,
2 what if it is basically a cell culture and that's it, and
3 that's all we have determined as safety? It is a brand
4 new designer drug that has never been seen on the planet
5 before, and we have put it in as a dietary supplement,
6 and there is no safety data available. What is the
7 responsibility, then, of the FDA?

8 MR. CHAPPELL: There are other mechanisms to
9 provide for that kind of scenario. First of all, the FDA
10 regulates claims, so everything is claim-based. If you
11 are making a claim that is hinged on an ingredient, then
12 there is DSHEA, or there is a monograph, or there is the
13 NDA route. Those are the avenues that you can go.

14 Now, you're shaking your head. I beg to differ
15 with you.

16 DR. LOW DOG: No. All I am saying is, that
17 claim doesn't have to do with safety, Tom.

18 DR. GORDON: Tieraona, let me just interrupt
19 for a second. We can't solve the whole problem here. I
20 think what we need to do is have an action step that is a
21 step in the direction that we want to go.

22 MR. CHAPPELL: As this is written, I personally

1 would accept it as it is written, but I also think that
2 we could push a little harder on this. It is simply to
3 put on file with the FDA the manufacturer's safety data,
4 and that is just an inventory of data.

5 DR. FINS: I mean, in a sense, we are asking
6 for something here that is a kind of modification of
7 existing statute, and we don't know what it should be.
8 So we are asking you to file, but we don't know what the
9 filing should be comprised of.

10 So I think what we need to do is say that, you
11 know, we might say in the text or something that we
12 recognize that this ultimately is what should happen and
13 that the FDA should engage in a feasibility study to
14 determine what should be filed in the safety documents,
15 what the periodicity should be, and what the elements
16 are, because this action item, in and of itself, will not
17 lead to any action, but a recommendation for a
18 feasibility study would be the first step towards the
19 goal that we all share.

20 DR. GORDON: Okay. I want Julia, and then Tom.

21 Julia, you had your hand up?

22 MS. SCOTT: No.

1 DR. GORDON: No, you're okay?

2 Tom, go ahead.

3 MR. CHAPPELL: I think that is an intelligent
4 idea, Joe. There are times when I prefer wisdom over
5 intelligence.

6 [Laughter.]

7 DR. GORDON: And wit over brevity.

8 MR. CHAPPELL: So I do not want you, in this
9 language, to tie the hands of the manufacturers. I just
10 want you to hold them responsible for safety.

11 DR. GORDON: Tom, we need wording here.

12 MR. CHAPPELL: I gave it to you.

13 DR. GORDON: We need to come to a conclusion.

14 MR. CHAPPELL: I gave it to you. I gave it to
15 you.

16 DR. GORDON: Let's say it again.

17 MR. CHAPPELL: "Manufacturers should make
18 available to the FDA scientific information to
19 substantiate --

20 DR. GORDON: Okay, and so on.

21 MR. CHAPPELL: Yes.

22 DR. GORDON: Okay. Let's talk about this

1 wording, let's see if it works. If not, Tieraona is also
2 coming up with wording.

3 Comments? Joe first, and then George, Joe.

4 DR. PIZZORNO: So, Tom, a manufacturing
5 question to you. Would it be of value to a manufacturer,
6 or would it be too great a burden that those
7 manufacturers submit information to the FDA, and the FDA
8 approved their GMPs and safety data to be able to put on
9 their label, "The FDA has approved the safety of this
10 product"?

11 MR. CHAPPELL: I think it is up to the FDA to
12 assess whether or not it has any concerns about the data
13 that is submitted, and I think that is their domain to
14 deal with. I don't think it is our domain. So I am not
15 able to go beyond simply publishing the available safety
16 data. They know whether we are dealing with something
17 that is at risk here.

18 DR. PIZZORNO: Tom, you didn't quite answer the
19 question I asked.

20 MR. CHAPPELL: Let me hear it again, then, Joe.

21 DR. PIZZORNO: It seems to me it would be a
22 huge advantage for a manufacturer to be able to put on

1 the label that the FDA has approved the safety of this
2 product.

3 MR. DeVRIES: You know, guys, we are really
4 going outside of the laws that are in place. I think we
5 are going way far afield, and I want to go back to
6 suggesting what has been mentioned, whether it be a task
7 force or a feasibility study.

8 I know Tieraona is working on some language
9 related to a task force which I think can really help to
10 narrow down a process, which I don't think, frankly, we
11 are equipped to suggest the process that should be put
12 into place today, but I think we can say there needs to
13 be a task force that goes through these steps.

14 DR. GORDON: Okay. Joe.

15 DR. FINS: I agree with George, but I do want
16 to close the loop very tightly here because I don't want
17 this to look like we are just creating another task
18 force. I would like that to be tagged to a report back
19 to Congress to see whether or not there are any statutory
20 changes that may need to be made based on that
21 feasibility study.

22 In other words, this reporting mechanism

1 fundamentally raises the question of whether or not there
2 is a modification of DSHEA, and I think that to just have
3 a task force without a recommendation that it goes back
4 to Congress is too open-ended.

5 DR. GORDON: Can we have George, Tieraona,
6 somebody want to write some wording for this?

7 DR. LOW DOG: Well, we are trying. Let me just
8 respond. I think, Tom, I think at this point, we would
9 not want to recommend anything other if we were going to
10 make this recommendation about manufacturers simply
11 making available safety data.

12 In addition, I would like to make an additional
13 recommendation that would say something like, "The
14 appropriate federal agency" -- and I don't know who that
15 would be; I would need your help, Corrine, in that --
16 "should establish a task force to evaluate the current
17 reporting of safety information by manufacturer to FDA of
18 CAM products to maximize and ensure public safety,"
19 something like that. I am on the spot.

20 Right now, the law is what it is. So I think
21 that if we are saying manufacturers simply reported, the
22 problem is a slippery slope on both sides. You could

1 have the FDA using that information to say that it is
2 inadequate safety information in trying to remove
3 products, which isn't our intent; and on the other hand,
4 you may have manufacturers who are not taking that
5 responsibility.

6 So I would either say that we could leave 4.4
7 the way it is where it is just simply a reporting, or we
8 could remove it and you could substitute it. So you
9 could add a Recommendation 4.5 about an establishment of
10 a task force that is reportable to the Congress, or you
11 could substitute it for 4.4.

12 DR. GORDON: Tom and George. We need to come
13 to a closure on this. We have two more major
14 recommendations in this section and we are already behind
15 time.

16 MR. CHAPPELL: Okay. I think 4.4 is a very
17 important action step and I would be highly opposed to
18 removing it. I am not opposed to an additional 4.5 that
19 tries to bring some standard to process or a process that
20 establishes a standard for safety.

21 DR. GORDON: Okay. What about the 4.5 that
22 Tieraona read the --

1 MR. CHAPPELL: Well, I need clarification from
2 Tieraona.

3 Are you opposed in 4.4 to making reference that
4 this be filed with the FDA?

5 DR. LOW DOG: Absolutely not.

6 MR. CHAPPELL: Okay. So manufacturers should
7 make --

8 DR. LOW DOG: I feel very strongly about 4.4.

9 MR. CHAPPELL: Yes. So 4.4 would say, as it
10 has been amended, as I was proposing the amendment,
11 "Manufacturers should make available to the FDA
12 scientific," blah, blah, blah. So that is where we are
13 in our current process.

14 DR. LOW DOG: Can we accept 4.4, then?

15 DR. GORDON: Can we accept 4.4 as amended or
16 not? Joe?

17 DR. PIZZORNO: I have a question about it. So
18 we are having manufacturers submit the data to the FDA.
19 What does the FDA do with it? Is it just archiving it?

20 DR. LOW DOG: They have it on file.

21 DR. PIZZORNO: Okay. A concern I have is what
22 if a consumer has a reaction to a product that shows a

1 lack of safety? Can they then come back to the federal
2 government and sue the federal government because the FDA
3 had the safety data and didn't do anything about it that
4 showed it was not safe? I am just wondering.

5 MR. CHAPPELL: For me, the recommendation to
6 include the FDA here in 4.4, just filing, is a
7 responsible step that this commission is recommending in
8 view of the great concerns for safety in this area.

9 DR. GORDON: Okay. Can we agree to this?

10 Joe, having heard your concern, I don't think
11 we have the answer to whether -- anybody can sue anybody
12 any time. Whether they can win or not is another matter
13 and really not our ultimate concern. Our concern is, are
14 we willing to accept 4.4 here as amended? I don't mean
15 to be short; I am just trying to move it along.

16 Yes?

17 MR. DeVRIES: Can we agree to 4.4 the way it
18 is?

19 DR. GORDON: Just as Tom read it now.

20 DR. LOW DOG: It is exactly the same, but "make
21 available to the FDA scientific information." That was
22 the only amendment I heard. Is that correct, Tom?

1 MS. AXELROD: And are we leaving in the second
2 clause in that? The second part is in there, "Current
3 statutory provisions should be periodically reexamined."
4 That is still in? Okay. Good.

5 DR. GORDON: Okay. George, are you okay with
6 that? George DeVries.

7 MR. DeVRIES: It is a bit unclear. I mean,
8 "should make available," does that mean upon request from
9 FDA or automatically on an annual basis?

10 DR. WARREN: Shouldn't it be "filed with the
11 FDA" so that they have a file on hand?

12 MR. CHAPPELL: Sure.

13 DR. WARREN: Instead of "making available upon
14 request," have it a requirement that they file it.

15 MR. CHAPPELL: Sure. I will amend that.
16 "Should file with the FDA."

17 DR. WARREN: "Should file."

18 DR. GORDON: "File with the FDA."

19 Where are you with this?

20 MR. DeVRIES: Well, I just think we are
21 creating burdens for agencies and not giving them a role
22 to say these are the ways to ensure safety. We are

1 saying we think this is the way safety be ensured, so go
2 do it, and they might respond, you have just spent a huge
3 amount of resources on our behalf, thank you, we would
4 rather be spending it this way because we think we could
5 do a better job ensuring safety this way. So I am just
6 concerned we are --

7 DR. GORDON: I think if we cannot come to some
8 kind of agreement --

9 MR. CHAPPELL: I am suggesting, George, that we
10 fix 4.4 and then take up 4.5 to deal with the process
11 question, George, okay?

12 DR. GORDON: But 4.4 will still stand, Tom. We
13 are still saying, even if we going with the process, that
14 the recommendation still says, give this to the FDA. We
15 haven't asked the FDA if they want it and we have
16 consulted with them about virtually all the other
17 recommendations. So I think that George's point is well
18 taken that we -- you know, we are introducing something
19 that we have no idea whether it is wanted or not at this
20 point, or needed.

21 DR. LOW DOG: Well, I think that the other
22 alternative, then, is to propose the task force to

1 evaluate what is needed and what is required as a way of
2 addressing these questions, because they may have minimum
3 standards that they require.

4 DR. GORDON: That is fine. I am just saying --
5 I am just really sort of rephrasing what George said, is
6 that we really have to think through the recommendations
7 in terms of whether they fit and whether they are going
8 to do any good.

9 Charlotte and then Joe.

10 SISTER KERR: I want to agree with George,
11 though this is not my area. I think we have a clear
12 intention of goodness and safety. What we are unclear on
13 is that we are birthing a new baby in this country in
14 particular, how to do it, and I think we need to be
15 careful and not put burdens on people in case we won't
16 get the outcome we seek.

17 So I would support this 4.5 concept, and
18 really, from everything you as experts are saying to me,
19 it sounds like that is only where we are, at the
20 beginning of assessing what is wanted and needed to
21 achieve the objective.

22 DR. GORDON: Okay. Joe?

1 DR. FINS: What I would suggest, and I think
2 that ultimately it should go to the FDA, but I agree with
3 George's concern, but suppose we did something like that?
4 We made 4.4 "should file with the appropriate government
5 agency," and then 4.5 is that we would respectfully ask
6 the FDA to conduct a feasibility study to assess how this
7 scientific information could be best provided to the
8 government in order to ensure safety.

9 DR. GORDON: Is that okay, George?

10 DR. FINS: So 4.4 simply becomes "Manufacturers
11 should file to the appropriate government agency
12 scientific information to substantiate," blah, blah,
13 blah.

14 MR. DeVRIES: How about, instead of "should
15 file," because you have automatically created a
16 tremendous amount of paper, huge amount of paper, you
17 could say, "Manufacturers should make available upon
18 request to the appropriate government agency." And if
19 the government agency says, you know, that's a good idea,
20 we want it from every manufacturer on every product, they
21 can request it.

22 DR. GORDON: Okay.

1 MR. DeVRIES: If they are saying, it is not
2 appropriate for us to collect all this, they don't need
3 to ask for it.

4 DR. FINS: Okay. But then 4.5 should say that
5 the FDA should conduct a feasibility study to determine
6 how best to collect -- to determine how the federal
7 government, because it may not be them, should --

8 DR. GORDON: Can help ensure the safety --

9 DR. FINS: Can help ensure the safety of these
10 products, and specifically address issues of filing,
11 registration, et cetera, so that these questions get
12 played out in a sort of scientific way.

13 DR. GORDON: And let me say we need to move
14 ahead, okay? So if we have a consensus on 4.4, which I
15 think I was hearing that we had, I would like to get that
16 clear, okay? So there was a wording I think, Joe, that
17 you used that people --

18 DR. FINS: Yes.

19 DR. GORDON: Corrine?

20 MS. AXELROD: I just wanted to let everybody
21 know that the FDA has reviewed this document and has not
22 objected at all to this recommendation, so just, you

1 know, to put you at ease on that.

2 There is also an element of prevention in this
3 that currently the manufacturers only need to submit a
4 statement saying that the product is safe. So just even
5 knowing that they must provide a little bit more
6 information may act as some deterrent to the unscrupulous
7 manufacturers who really don't have that safety data.

8 My other little comment is I don't think it is
9 a feasibility study; I think we should just say study.

10 DR. GORDON: Joe, go ahead and read your
11 amended 4.4.

12 DR. FINS: Okay. Four-point-four is that
13 "Manufacturers should make available" -- we were going to
14 say "upon --

15 DR. GORDON: "Upon request."

16 DR. FINS: "Upon request to the appropriate
17 government agency" -- "to the FDA." Okay. We are back
18 to the FDA. "Scientific information."

19 DR. GORDON: Okay. Are we okay with that?
20 Good. Now, 4.5.

21 MR. CHAPPELL: Would you be all right, Joe,
22 with "Manufacturers should have on hand and make

1 available upon request"? In other words, I want to
2 establish the fact that their burden is to have it on
3 file.

4 DR. GORDON: Okay? So let's read that once
5 again to make sure --

6 MR. CHAPPELL: "Manufacturers should have on
7 file and make available to the FDA upon request."

8 DR. GORDON: Fine.

9 DR. LOW DOG: That's good.

10 DR. GORDON: There is a recommendation for 4.5?
11 Let's hear that, please.

12 DR. FINS: It is not drafted, but it is, "The
13 FDA should conduct a study to determine how best to
14 manage and oversee -- I mean, I don't have a wording
15 here, but the filings or whatever. Tieraona, do you have
16 --

17 DR. LOW DOG: Right, but can we agree? I would
18 just like to have consensus on it and we can send it out.
19 Corrine can certainly make a recommendation and get the
20 words right.

21 DR. GORDON: Basically a recommendation to the
22 FDA to look at how best to ensure safety of products.

1 DR. LOW DOG: Yes. Yes.

2 DR. GORDON: Okay.

3 MS. AXELROD: It may not be the FDA that --

4 DR. LOW DOG: It may be an appropriate agency.

5 DR. GORDON: Appropriate agency.

6 DR. LOW DOG: Yes.

7 DR. GORDON: Fine. Could you try to get that
8 together and, if possible, even read it to us at the end
9 of the day so everybody can be clear? If not, we are all
10 in agreement about the general sense.

11 Joe.

12 DR. FINS: And then attach to that a report
13 back to --

14 DR. LOW DOG: Congress.

15 DR. FINS: Right.

16 DR. LOW DOG: Right.

17 DR. GORDON: Okay. We need to move on.

18 Page 20, Recommendation 5. I am going to read
19 the recommendation and then ask you all to read the
20 action steps and ask everyone -- it was well spent, but
21 we don't have the time to spend that kind of time on any
22 more of these recommendations, okay?

1 "The public should have accurate information on
2 the quality and safety of CAM products." And there are
3 five action items. If everyone would read through them
4 and if we could expedite the discussion of this.

5 [Pause.]

6 DR. GORDON: Okay. Let's begin now.
7 Recommendation 5. Comments. "The public should have
8 accurate information on the quality and safety of CAM
9 products." Joe had a comment.

10 DR. FINS: Did we move on to the action or not?

11 DR. GORDON: Let's talk about the -- are we
12 okay with the recommendation, at least provisionally, or
13 do we need to address that first? Charlotte?

14 SISTER KERR: If I were being asked a question
15 about Recommendation 4 and 5 in a public hearing, I don't
16 see what -- first of all, the subject in both is
17 products, and 4 is asking that they have good quality and
18 consistency and 5 is asking that they have quality and
19 safety. What is the difference here? Why didn't we put
20 all of these in one recommendation, like safety, quality
21 and consistency?

22 DR. GORDON: Well, Corrine may want to explain

1 this. Corrine?

2 MS. AXELROD: Maybe Tieraona or George wants to
3 explain it.

4 [Laughter.]

5 MR. DeVRIES: I think Recommendation 4 is more
6 about the quality and safety of the products themselves
7 and 5 is dealing more with the information and the
8 advertising and how those things are presented. So it is
9 content versus presentation of the products. Is that
10 good?

11 DR. GORDON: Are we okay at least provisionally
12 with the recommendation and then we can move into the
13 action items? Yes? Okay. So let's move into the action
14 items.

15 Joe?

16 DR. FINS: I have one thing about this section.
17 It seems to be, except for a little caveat in 5.2,
18 exclusively about supplements, and I am wondering whether
19 we want to be more specific in the recommendation or not,
20 because it really is about supplements. But that is an
21 aside.

22 DR. GORDON: That is what it is about.

1 DR. FINS: Yes. So I wonder if we should say
2 safety of supplements versus safety of products.

3 DR. GORDON: Products is supplements. How do
4 you --

5 DR. FINS: Supplements is a subcategory of CAM
6 products.

7 SISTER KERR: We need to define products here
8 because I don't know if we are talking about gadgets and
9 --

10 DR. FINS: Right.

11 DR. LOW DOG: We could say dietary supplements.

12 DR. FINS: Well, I would say, you know, safety
13 of supplements.

14 MS. AXELROD: No, 5.2 is more than just
15 supplements.

16 DR. FINS: Well, I appreciate that and that is
17 why it --

18 DR. LOW DOG: So you are probably going to have
19 to leave "products," "CAM products."

20 MS. AXELROD: We could say "dietary supplements
21 and other products."

22 DR. FINS: Yes. That is good.

1 DR. GORDON: All right. "CAM supplements and
2 other products."

3 DR. FINS: Okay. Good.

4 DR. GORDON: You want to say "dietary
5 supplements and other CAM products"? How about that?

6 DR. FINS: Yes, that is perfect.

7 DR. GORDON: All right. "Dietary supplements
8 and other CAM products."

9 Let's move into the action items. Thank you,
10 Joe, for pointing that out.

11 DR. FINS: I have an action item.

12 DR. GORDON: Go ahead.

13 DR. FINS: On 588, -89, one thing I think that
14 we need to add in that last line, "so that consumers have
15 truthful, complete and scientifically valid information
16 on the benefits, appropriate uses of dietary supplements,
17 and important drug supplement interactions on the product
18 label at the point of sale."

19 DR. GORDON: Okay. Everybody got that, adding
20 "and important drug supplement interactions." I would
21 also add "hazards of the product" as well as "important
22 drug product interactions."

1 DR. FINS: And "hazards." Okay.

2 DR. GORDON: "And hazards of the product and
3 important drug product," and so if you are giving
4 somebody ephedra, they need to know the potential
5 liabilities.

6 DR. FINS: Maybe "risks," because "hazard" is
7 maybe --

8 DR. GORDON: "Risks" is fine.

9 Tom?

10 MR. CHAPPELL: Well, I just want to say that
11 the task of establishing scientific evidence of the
12 synergies of dietary supplements with drugs is beyond
13 what any manufacturer can do and it is a task that will
14 take a decade for the government to do.

15 MR. DeVRIES: How about "significant and
16 established"?

17 MS. AXELROD: Can I just make a point for
18 clarification? This issue is actually dealt with in 5.3
19 and we separated out the appropriate use and benefits
20 from the interactions, hazards, risks, because the
21 mechanisms to do that are so different; and that in terms
22 of the interactions and risks, that falls under the

1 caveat of material facts and that when those facts are
2 known, the manufacturer must disclose it. There is no
3 requirement that they do the research on it, but when
4 they know it, it must be disclosed. So those are dealt
5 with separately.

6 DR. GORDON: Corrine, I think if we are indeed
7 going to deal with them separately, "material facts" has
8 to be explained, because that is a technical term and not
9 one that is accessible to people.

10 MS. AXELROD: It is explained in the text. Do
11 you feel it needs to be explained in the action item?

12 DR. GORDON: It needs to be explained in the
13 action item.

14 MS. AXELROD: That is not an easy thing to do.

15 DR. FINS: I understand what you are saying,
16 but I also think that this is -- you know, 5.1 was kind
17 of -- it is really a very consumer-directed action item,
18 and they are going to see the label. You know, the
19 disclosure is an upstream thing but the label is how it
20 gets disclosed. So I really think the consumer needs to
21 have -- it should be in 5.1 and --

22 MR. DeVRIES: Say, Joe, if that is needed, if

1 you look at the last part of 5.3, it says, "Public will
2 know about known risks and well-documented and
3 significant interactions." Can we take the phrase "known
4 risk" and "well-documented and significant interactions"?
5 I mean, if you want that in 5.1, that would be the
6 phrase.

7 DR. FINS: I really want to say something. I
8 want to specifically say, "significant interactions
9 between drugs and supplements" or "supplements and
10 drugs."

11 MR. DeVRIES: Well, it is not just interactions
12 between dietary supplements and pharmaceutical drugs; it
13 is also non-pharmaceutical drugs, OTC, tobacco, food,
14 alcohol.

15 DR. FINS: Right.

16 MR. DeVRIES: I don't think you want to limit
17 yourself.

18 DR. FINS: Okay.

19 DR. GORDON: Can we take that phrase that
20 George has suggested and put that in 5.1? Yes? Joe,
21 yes?

22 DR. FINS: Yes.

1 DR. GORDON: Okay. Let's move on, please. So
2 you have that down, Corrine? So it would be, "on the
3 benefits, appropriate uses and known risks and
4 well-documented significant interactions" will be
5 inserted on line 589.

6 Yes, Joe.

7 DR. PIZZORNO: So is that being removed, then,
8 from 5.3 and just put into 5.1?

9 DR. GORDON: I think we can leave them in both
10 places as well.

11 DR. PIZZORNO: Okay.

12 DR. GORDON: At this point. But let's put them
13 in 5.1.

14 DR. LOW DOG: With 5.1, I need to get at what
15 we are trying to really get. "So that consumers have
16 truthful" -- well, that's already required by law. You
17 can't make untruthful statements. The law requires they
18 be truthful, and so the law would need to be enforced if
19 you are looking for truthful. "Complete." "Complete" is
20 defined by what? Including "significant interactions"?
21 I mean, is that what we are looking for "complete"? And
22 "scientifically valid." Well, there are already laws in

1 place for scientific validity and what you have to do to
2 move through it.

3 So are we asking for -- I think if we are
4 asking for more than what we are asking here, we need to
5 be more specific, because right now, you have --

6 DR. GORDON: I am not sure what you mean.

7 DR. LOW DOG: We are saying right now that we
8 should have further input followed by rulemaking,
9 oversight, and legislative reform, so that consumers have
10 truthful, complete and scientifically valid information
11 on the benefits and appropriate uses.

12 DR. GORDON: Right.

13 DR. LOW DOG: Are you asking that we are
14 revisiting the structure function in that?

15 DR. GORDON: Yes. That is the way I read it.
16 I mean, the group that formulated this is the Information
17 Group, but essentially yes.

18 DR. LOW DOG: Well, I know, I am on that group.

19 DR. GORDON: So that is what you are asking
20 for.

21 DR. LOW DOG: But it has changed so many times.
22 This actually was -- we had at-length discussions about

1 this and this has continued to change.

2 DR. GORDON: This is your group.

3 Corrine, do you want to clarify this?

4 MS. AXELROD: Well, it hasn't changed since
5 right after the last meeting when we did the revisions
6 based on some comments from George, and then we had
7 several conference calls and we worked it out. So, as
8 far as I know, it hasn't changed.

9 DR. GORDON: This is what I have seen since the
10 first revisions after the last meeting.

11 DR. LOW DOG: We had at-length discussions
12 about DSHEA and we had -- because we had focused
13 primarily on these other issues, and then it was brought
14 to our attention that other commissioners really wanted
15 to visit the scientifically valid information and
16 structure function, and that is okay. I am just
17 wondering if it could be more specific in the
18 recommendation if that is what we are asking for.

19 DR. GORDON: If you want to make it more
20 specific, could you do that? I want to move through
21 these, Tieraona, because if we don't --

22 DR. LOW DOG: Yes. I am just saying right now,

1 it makes it sound, Jim, like right now the laws say that
2 you can be untruthful, incomplete, and put scientifically
3 invalid information on there, which is not true.

4 MR. CHAPPELL: Jim, this actually is not what
5 the committee's subcommittee came up with. We didn't
6 support this idea, just so you know. This is not a
7 committee-based, this is something other than the
8 committee. Am I right on that?

9 DR. LOW DOG: I was on all those calls and we
10 discussed this at length. This is not what exactly we
11 came up with in our group.

12 MR. CHAPPELL: If George, Tieraona and I agree
13 to that point, you can rest assured we -- this did not
14 come out of the committee.

15 DR. GORDON: So tell us, what are we going to
16 do with 5.1?

17 MR. CHAPPELL: George, the process that we have
18 in Recommendation 4, is that sufficient, or do we need a
19 process -- that is really my only question of you,
20 because you are the one that has been promoting the
21 process here.

22 DR. GORDON: Let me just say, to take it one

1 step back, that there was considerable concern and
2 discussion from the Commission as a whole that we wanted
3 better information to be provided to consumers, and you
4 were one of the leaders in that, as I remember.

5 MR. CHAPPELL: Yes, and we came up with
6 language at the last Commission gathering that was not
7 this language.

8 DR. GORDON: What I would like to do is, if you
9 all are still having a difficulty with this language, I
10 don't think we can take -- what we need you to do is
11 maybe at lunchtime sit and come up with better language
12 and work with Corrine, and with Steve, if you want,
13 certainly with Corrine to do that.

14 We need to move through these, because what I
15 am looking for is the deliberations of the subcommittees
16 based on last-time's meeting, and if you are saying this
17 isn't what you did, then let's move on and go to what you
18 did, and we have to come back to this very quickly,
19 though, okay?

20 So let's look at the rest of 5, 5.1, 5.2, 5.3,
21 5.4 and 5.5. I think the intent of 5.1 that I heard and
22 I just want to make sure you address is that the

1 Commission as a whole wanted more information easily
2 available to consumers about the benefits and risks.
3 However you want to state it, that is the general intent
4 and that is really --

5 DR. FINS: And also point of sale.

6 DR. GORDON: At point of sale, as Joe is
7 saying.

8 Yes.

9 MR. CHAPPELL: I remember that was the
10 Commission's intent.

11 DR. GORDON: Great. So as long as you have our
12 intent in mind, go back and work out the wording.
13 Meanwhile, let's look at the rest of No. 5.

14 MR. DeVRIES: One question, though. I heard
15 you say earlier how much -- because as we worked through
16 it on a committee, it was saying, yes, there needs to be
17 better information but in the context of saying really
18 the current laws really need to be reinforced, to be
19 enforced. We are not talking about rewriting the laws.

20 DR. GORDON: Again, the Commission's concern,
21 George, again, the Commission's concern is that better
22 information be available at the point of sale whether the

1 laws have to be rewritten or not. That is your judgment
2 as to how to best implement that, okay?

3 DR. LOW DOG: We are going to meet at lunch for
4 a few minutes, our group, and we will get back to you
5 right after lunch, okay?

6 DR. GORDON: Great. Thank you.

7 Let's move ahead with 5.2, 5.3, .4 and .5.

8 Yes, Joe.

9 DR. FINS: George, on this issue, I mean, I
10 might have missed it, but where do we say that DSHEA
11 should be fully enforced as an action item? That is kind
12 of important. And that it should be revisited if it is
13 found to be inadequate. That seems to be a major --

14 MR. DeVRIES: That was the core of the
15 committee's discussion. All current laws need to be
16 fully enforced because some aren't being.

17 DR. FINS: Right. And then, because that is a
18 tease point, it should be, but we know it is not, so that
19 is why we the reintroducing it again. So we need to have
20 that language back, "fully enforced," and then go back
21 and revisit it after a period of time and report to
22 Congress.

1 DR. LOW DOG: To see if it is adequate or not.

2 DR. FINS: To see if it is adequate or needs to
3 be revisited. Thank you.

4 DR. GORDON: Thank you, Joe.

5 Let's move ahead, please.

6 MS. AXELROD: Can I just point out that is in
7 5.5?

8 DR. FINS: We don't explicitly say that DSHEA
9 should be fully enforced. I think we should explicitly
10 mention DSHEA somewhere --

11 DR. GORDON: So mention DSHEA in 5.5.

12 DR. FINS: And then come back and say "fully
13 implemented, enforced and funded," which is implied, and
14 then say we are going to come back and then Congress
15 should revisit the issue based on all of those resources
16 --

17 DR. GORDON: Joe, are you suggesting that
18 instead of it being 5.5, that there should be a separate
19 recommendation, or are you content with the 5.5?

20 DR. FINS: It almost seems to me that this is
21 -- the full implementation of DSHEA, its enforcement and
22 its revisiting, is almost at the level of a

1 recommendation, not an action item, because everything
2 else kind of follows in that context.

3 DR. GORDON: Okay. Is everybody agreed on
4 that? Then we would like 5.5, which essentially is what
5 you are saying, but it needs to be made explicit, right?

6 DR. FINS: As a recommendation.

7 DR. GORDON: As a recommendation.

8 DR. FINS: Not as an action item.

9 DR. GORDON: I got you. As a recommendation.
10 Everybody agree with that, that 5.5 would then
11 become a recommendation?

12 DR. LOW DOG: Not 5.5. Please let us just take
13 it at lunch. We will take the implementation and
14 enforcement of DSHEA and look at that as making a
15 recommendation, but there are other things in 5.5 that we
16 are not going to include in the recommendation.

17 DR. GORDON: Okay.

18 DR. LOW DOG: Such as English language, et
19 cetera.

20 DR. GORDON: All right.

21 MS. AXELROD: Jim, for clarification, will that
22 replace the current Recommendation No. 5 or is that an in

1 addition to?

2 DR. GORDON: No. That would be in addition, is
3 what I am hearing, or it may replace. I don't know. We
4 have to see.

5 DR. FINS: And I would just argue that it
6 should precede the current 5, I mean the first statement
7 about DSHEA and about these issues.

8 DR. GORDON: All right. So what we would like
9 from you all is for you all to reformulate this and come
10 back to us, okay?

11 Now, does it make sense, then, to go through
12 5.2 through 5.4, or are we fine with those
13 recommendations or those action items? Yes, Veronica.

14 DR. GUTIERREZ: One comment. It might be
15 obvious under 5.2, Subsection 2. I would like to have it
16 read "use appropriate CAM experts in the process."

17 DR. GORDON: Is that okay with everyone? Okay.
18 Good. Anything else? All right.

19 So we are asking, Tieraona, you and George to
20 go back -- excuse me, Tieraona? We are asking you and
21 George to go back. There needs to be a recommendation
22 that specifically addresses implementation of DSHEA and

1 there needs to be whatever rewording of 5.1 there needs
2 to be to address the concern of the Commission that there
3 be adequate information at point of sale.

4 DR. LOW DOG: We understand.

5 DR. GORDON: Okay.

6 Joe?

7 DR. PIZZORNO: Isn't 5.4 a restatement of what
8 we are trying to create with 4.5, a new 4.5? I think
9 that should be moved over there.

10 MS. AXELROD: One is before the fact and one is
11 after.

12 DR. GORDON: Did everybody hear what Joe just
13 said, that 5.4 is perhaps a restatement of 4.5?

14 DR. PIZZORNO: The new 4.5 we are writing.

15 DR. GORDON: The new 4.5. Yes? Okay.

16 MR. DeVRIES: We were just chatting that we
17 would like over lunch the opportunity to look at 5.1 to
18 5.5, because that problem -- we were discussing 5.4 --
19 seems out of place now and 5.5 needs rework, 5.1 needs
20 rework.

21 DR. GORDON: All right. Please do that. We
22 will probably come back to it at the end of the day,

1 though, okay, because we need to move through the other
2 sections.

3 MR. DeVRIES: Got it.

4 DR. GORDON: All right. Let's move on to
5 Recommendation 6. On page 22, Recommendation 6: "The
6 collection and dissemination of information about adverse
7 events stemming from the use of dietary supplements
8 should be improved."

9 Please read the action items under this.

10 [Pause.]

11 DR. GORDON: Okay. Steve was pointing out to
12 me that already in the appropriation language additional
13 funds have been provided to update and modernize the
14 adverse events reporting system. Are we okay with these,
15 Recommendation 6 and the action steps?

16 Joe.

17 DR. FINS: On page 23, 649, we have this
18 elsewhere. We made this request that they file safety
19 information, and that was not voluntary, and now we are
20 saying but they should voluntarily register in the event
21 that there is an adverse event. So I am confused about
22 that.

1 DR. GORDON: Do you want to clarify that?

2 DR. LOW DOG: Yes. Actually, unless I
3 misunderstood, I thought we had said that manufacturers
4 will have on file and make available upon request. So
5 they weren't just making the filing. And because you are
6 going to have to mandate this -- I mean, we were just
7 asking for voluntary until it could become --

8 DR. GORDON: Wait. But now you are confusing
9 us again. You are adding something and now you are
10 taking it away.

11 DR. LOW DOG: No, I didn't do anything. All I
12 said was that on four-point-whatever-it-was, we had said
13 that manufacturers will have on file and make available
14 upon request, so that is something separate. That wasn't
15 voluntary or mandated; we are just saying that they
16 should have it on file.

17 DR. GORDON: Okay. What do you want to do
18 here?

19 DR. LOW DOG: This one, I like it the way it
20 is. I like it just the way it is. It says that you are
21 going to register --

22 DR. GORDON: Okay. But Joe doesn't like it the

1 way it is.

2 MR. CHAPPELL: Okay. Well, Joe, this is
3 adverse events and that is different from the larger
4 picture of safety data.

5 DR. FINS: Maybe really in 6.2, I think we
6 would want to mandate reporting of adverse events,
7 whereas, you know -- because 6.1 is that you are
8 voluntarily registering as a manufacturer. In the event
9 that something were to happen, they could find you.
10 Six-point-two is that we are asking them to report
11 adverse events to the agency, and that it is a
12 requirement. So I think we are covered. I think that
13 works.

14 DR. GORDON: You think it is okay.

15 DR. FINS: I think we are okay.

16 DR. GORDON: Julia? Okay. So we are okay.
17 There is not a problem.

18 Effie.

19 DR. CHOW: In 6.1 or 6.2, should consumers come
20 in there or does that assume that, reporting to the
21 manufacturers, that the consumers are going to be
22 notified right away of adverse events? So in 6.1, on

1 page 23, "so that manufacturers and suppliers and
2 consumers can be promptly notified if a serious adverse
3 event is identified."

4 DR. LOW DOG: Yes, I understand what you are
5 saying there. The way this is written is that the reason
6 we are asking manufacturers and suppliers to register is
7 so that they can be promptly notified if there is a
8 contaminant, adulterant, or concern. Obviously, if there
9 is a concern, the FDA would need to make that known to
10 the public, if there is an adverse event.

11 DR. GORDON: Effie, are you asking if that
12 needs to be in here?

13 DR. FINS: It is in 6.3. It is in 6.3, Effie,
14 line 661. They mention consumers.

15 DR. GORDON: Okay.

16 DR. FINS: Jim?

17 DR. GORDON: Yes, Joe.

18 DR. FINS: On 6.3, I would like to include -- I
19 have said this a thousand times -- CDC because it is not
20 here, I don't think.

21 DR. LOW DOG: We had had that before.

22 DR. FINS: Okay. "Provided to FDA and CDC."

PERFORMANCE REPORTING

1 The reason I say that is an adverse event, once it is
2 known to be attributable to a drug or a supplement, is
3 under the purview of FDA, but if children are having
4 rashes in the schools, it is the EIS that is going after
5 and trying to figure that out, and it may be a supplement
6 or it may be --

7 DR. GORDON: Okay. Are we okay with that,
8 adding CDC?

9 DR. LOW DOG: Yes.

10 DR. GORDON: Okay. Good.

11 DR. FINS: And the other thing is, I would add
12 on No. 3, "an increase in outreach activities and
13 education to consumers" and all that, et cetera, but I
14 also want to make explicit resources available, and we
15 mentioned poison control centers and to emergency medical
16 services. They need more than outreach and education;
17 they may need some real resources. So I don't think
18 outreach will meet the need for the training and the
19 information that they require.

20 DR. GORDON: What are you suggesting adding?

21 MS. AXELROD: Ken just reminded me that this
22 section is on information, it is not on education and

1 training. So we just need to keep that in mind in terms
2 of going out to train other people.

3 DR. LOW DOG: Right. And that first line is
4 "additional resources and support."

5 DR. GORDON: Okay. I would like to get
6 agreement on this. I think we are really in an
7 incredible time bind and I need to let everybody know.
8 We should have been through two sections by now. We are
9 only through one.

10 I want to get a reading from people. How many
11 people will be here until the end of the day?

12 [Show of hands.]

13 DR. CHOW: What is the end of the day?

14 DR. GORDON: End of the day is midnight. No.
15 End of the day is when we finish, because we need to
16 finish this today. There are no ifs, ands or buts about
17 it. If everybody wants to be here to finish it together,
18 everybody has to move faster, okay? I can't do it
19 myself. We have to be completely mindful and only focus
20 on what is most essential.

21 Don.

22 DR. WARREN: It seems like in this 6.1,

1 whatever it is, we are setting a higher standard for the
2 adverse reporting system for nutritional supplements than
3 we have for vaccinations. It does not make sense. Are
4 the manufacturers of supplements liable for suit and the
5 manufacturer of immunizations aren't? What are we doing
6 here?

7 DR. GROFT: No, there is a vaccine injury
8 compensation program already available. It is federal
9 dollars.

10 DR. GORDON: And vaccines have to register.

11 DR. GROFT: And licensed.

12 DR. GORDON: They are registered and licensed.

13 DR. GROFT: They need to be licensed and
14 adverse events must be reported as part of the licensing
15 process.

16 DR. GORDON: Tom.

17 MR. CHAPPELL: The issue that you are -- I
18 don't see the equation here, so I think our language is
19 fine as it is.

20 DR. GORDON: Are we okay, then, with 6 as it
21 is, and action? Any major issues with the text? Minor
22 issues, please contact Corrine outside of this meeting.

1 Joe, go ahead.

2 DR. PIZZORNO: Page 10, line 287. I don't like
3 quite the way that is written and I would like to suggest
4 that after "for example," in front of "naturopathic,"
5 maybe if you put the word "lay" there, that would help
6 make that work better; and on line 288, after
7 "naturopathic physicians," cross out "may."

8 DR. GORDON: Is that all right with people?

9 DR. PIZZORNO: Line 287, after "for example,"
10 insert before "naturopathic" "lay," the word "lay,"
11 l-a-y; and on line 288, after "naturophatic physicians,"
12 cross out the word "may."

13 DR. GORDON: Is that okay with everyone? Okay.
14 Anything else on the text?

15 Okay. We are going to take a ten-minute break
16 and then we are going to come back with Access and
17 Delivery and we are going to finish it by 11:45. We will
18 be beginning with Wellness and then we will Access and
19 Delivery right after lunch. So thank you for your
20 indulgence for this necessary change.

21 [Break.]

22 DR. GORDON: A couple of points. One is Public

1 Comment will be at the time that it was scheduled. There
2 are seven people signed up, seven or eight people signed
3 up for Public Comment. Each person will have three
4 minutes. They will be in panels and we will have an
5 opportunity to talk with them after each panel.

6 The Commission will adjourn here at about 10 of
7 12:00, and then commissioners are going to be getting
8 together over lunch and having discussions, sort of
9 figuring out various things. Then we will come back here
10 at 1:00 promptly. We will begin again, we will go up to
11 the time of Public Comment, and then we will continue
12 after the Public Comment.

13 It is entirely possible that the meetings will
14 continue until 6:00 or 6:30 this evening. It's just
15 necessary for us to get through the business we have at
16 hand. I would suggest, and I know a number of you have
17 begun to do this, if you could reschedule flights so that
18 you can be here until the end. We really want everyone
19 here, even Don. We want to make sure Don is here, too.
20 This is our last meeting and we want to make sure that
21 everybody has input into all the sections.

22 So let's begin now. Thank you, and thank you

1 especially, Corrine, for your indulgence in doing one
2 session right after the other.

3 CAM IN WELLNESS AND HEALTH PROMOTION

4 DR. GORDON: Let's begin with the Wellness
5 session, and I want to remind everyone that the current
6 order would have the Wellness session just before the CAM
7 Central section, which is at the end of the report, okay?

8 What I am going to do once again is to read the
9 recommendations.

10 Yes, Joe?

11 DR. PIZZORNO: I think it may be more
12 appropriate to discuss now where it is going to be
13 located and then get the recommendations, because it may
14 change the character of how we go through this process.
15 If that is okay, I would like to put on the table that
16 this be an appendix, not be one of our sections.

17 DR. GORDON: That is a recommendation put on
18 the table. Tom?

19 MR. CHAPPELL: I think wellness is so much a
20 part of the world view that that would be disvalueing the
21 work. So I would argue that we keep it where it is
22 presently intended.

1 DR. GORDON: Other comments? Julia?

2 MS. SCOTT: I really would like us to press
3 forward on the recommendation. The placement of any of
4 this, I think we can do later, but it is very important
5 while everyone is here that we get consensus on the
6 recommendations or the need to make changes. The
7 placement in the report is important, but not as
8 important as getting us while we are here to agree on the
9 recommendations.

10 DR. GORDON: So can we move forward with the
11 recommendations, then, and defer any discussion? Okay.

12 Let's look at Recommendation No. 1 on page 7.
13 It reads, "Safe and effective CAM practices should be
14 utilized to help achieve the Nation's health promotion
15 and disease prevention goals and to promote wellness
16 throughout the life span." And then there are several
17 action items and six action items, so if everyone could
18 take a little time to read through the action items,
19 please.

20 [Pause.]

21 DR. GORDON: Dean, do you want to begin?

22 DR. ORNISH: Yes. First, let me thank you

1 again for the scheduling.

2 I am not going to repeat everything that was in
3 my memo yesterday, but I want to just highlight one part
4 of it which I think is, to me, among the most important,
5 and that is that many if not most of our recommendations
6 are not about specific modalities, they are about CAM,
7 and CAM -- we made a joke about it a moment ago, but
8 almost anything passes for CAM. So it concerns me when
9 we talk about here again CAM practices, CAM practices.
10 What are we referring to?

11 So at a minimum in Action Item 1.1, I would
12 like to see "CAM practices that have been proven to
13 improve nutrition, promote exercise"; in other words, add
14 the four words "have been proven to" in that one, at
15 least to help to narrow it down a little bit.

16 DR. GORDON: Dean, do you want to also, while
17 you have the microphone, say if there is -- that is a
18 quite clear recommendation there. There are a number of
19 recommendations that talk about CAM practices throughout
20 the different action items here. Do you have a general
21 kind of way of looking at it that you want to outline?

22 DR. ORNISH: Well, short of revising the whole

1 document, no. I mean, that is part of the problem. It
2 is not just in this section, it is in all sections. When
3 we talk about CAM, it is one thing if you are saying -- I
4 mean, you may be talking about CAM or David Bresler might
5 talk about CAM and say, well, that is acupuncture because
6 that is proven, but if you look at Table 1 in the very
7 first section of the document, not this chapter but the
8 document itself, it can include, you know, coffee enemas,
9 it could include all kinds of stuff that -- sitting under
10 a crystal pyramid to some person is a CAM practice.

11 So I know that there is an ethos that goes
12 through this document that when we talk about CAM, we
13 tend to think of those modalities that we have the most
14 experience with, that we have seen clinical efficacy of
15 even if they may not be proven in randomized trials. But
16 I think we need to also wear the filter of someone
17 saying, well, that could also include almost anything,
18 and that is why I keep coming back to safe and effective
19 as just one shorthand way of trying to limit the scope of
20 it, but even that really doesn't address the issue fully.

21 DR. GORDON: Okay. Well, let's try to go
22 through the specific action items keeping that in mind

1 and addressing it in a way that feels like it is
2 appropriate.

3 Yes, Tieraona.

4 DR. LOW DOG: Can I make a comment on the
5 recommendation?

6 DR. GORDON: Yes.

7 DR. LOW DOG: I would opt to change that "CAM
8 practices and products should be evaluated to determine
9 what role they may have in improving the Nation's health
10 and disease prevention goals."

11 DR. ORNISH: I like that a lot, actually,
12 because it completely addresses the issue that I have,
13 which is this ethos of presuming that these things are
14 beneficial and just finding out how we can implement
15 them.

16 DR. GORDON: Let's read it again, please.

17 DR. LOW DOG: "CAM practices and products
18 should be evaluated to determine what role they may have
19 in improving the Nation's health and disease prevention
20 goals."

21 DR. GORDON: And you are leaving out "and
22 promoting wellness"?

1 DR. LOW DOG: I just sort of figure that's part
2 of the health promotion. And you can add that, I am not
3 attached to anything; I am just trying to find --

4 DR. GORDON: I think that is a distinct
5 thought.

6 DR. LOW DOG: Fine.

7 DR. ORNISH: I would add that since it is part
8 of the chapter.

9 DR. LOW DOG: That is fine.

10 DR. ORNISH: But I completely like what you are
11 doing.

12 DR. GORDON: Okay. So we have a recommendation
13 for the recommendation on the floor.

14 DR. ORNISH: I recommend that you accept the
15 recommendation about the recommendation.

16 [Laughter.]

17 DR. GORDON: Okay. Are there any other
18 recommendations about this or discussions about this? I
19 think this is very important that we discuss it, that if
20 people have concerns about it, that we enunciate it here
21 at the beginning, because this is going to help shape all
22 the other recommendations.

1 Yes, Joe.

2 DR. FINS: I really resonate with what Dean
3 said and Tieraona's suggestion, but if you think about it
4 logically, it is really a research question, it is not a
5 health promotion question. I mean, the question is that
6 we need to study whether or not this stuff causes
7 decreased morbidity and mortality and promotes wellness.

8 So it really is logically a research question,
9 and I think that the tone of this section has a
10 proselytizing quality to it which is anti-scientific. I
11 think it undermines the entire rest of the report. This
12 is the implicit question in so much of what we are doing,
13 and I think you are basically undermining the seriousness
14 with which this report would be accepted.

15 DR. ORNISH: I don't quite follow you. Are you
16 saying that the act of saying that we need to do research
17 as well as promotion undermines the credibility of the
18 report?

19 DR. FINS: I am saying what you said before in
20 a slightly less clear way.

21 [Laughter.]

22 DR. GORDON: I appreciate less clarity, Joe.

1 DR. FINS: I am agreeing with what you said
2 before about making claims for the imposition of vague
3 modalities yet to be proven and established, and just
4 agreeing that whether or not these interventions will
5 impact the public health in decreasing morbidity,
6 mortality, is a question to be determined.

7 DR. ORNISH: I agree with you, but I think that
8 the nature of this chapter is it overlaps a lot of other
9 chapters.

10 DR. FINS: Right.

11 DR. ORNISH: I mean, I was arguing against
12 having this chapter in from the very beginning. Now that
13 we have it, though, I think that we need to make the best
14 of it, and so given what we have, I think adding
15 Tieraona's qualifying language to me makes perfect sense.

16 I also would like to say that some of the
17 narrative could come out as being a little more balanced
18 and a little less as an advocate. I think there would be
19 some value in that, too. We don't have time to go into
20 that line by line now, but as a general --

21 DR. GORDON: We will have time. I want to get
22 some other thoughts about this discussion that we have

1 here on the floor.

2 Charlotte.

3 SISTER KERR: I need to say this recommendation
4 again from Tieraona. Complementary practice -- "CAM
5 practices and products should be evaluated to determine
6 their role in achieving the Nation's health promotion and
7 disease," da, da, da.

8 Now, I think this conversation is really good,
9 though. It is a challenge, because I think Joe's point
10 is important in terms of is it a research question, and
11 this feels like it is going to be one of those ones of
12 not making the enemy -- perfect the enemy of the good.

13 By saying as it is, "safe and effective CAM
14 practices," we are resting upon almost all our other
15 chapters that we are requesting; for example, the last
16 work we just did with guidelines on adverse reactions.
17 We are resting upon the fact that we are recommending
18 many things in research, so that this recommendation
19 comes to say, yes, indeed, only those things that we know
20 at this point that are safe and effective we want to
21 include in schools, and I think to do it as Tieraona is
22 saying is to totally drop out of the whole

1 recommendation, really. It is kind of like, let's do a
2 study on so-and-so, and then after we do that, we will
3 decide whether or not we want to do anything. And if I
4 have not understood this, then I am open to --

5 DR. ORNISH: Tieraona, if I understood you
6 correctly, you were saying that, "CAM practices and
7 products should be evaluated to determine their role in
8 achieving the Nation's health promotion and disease
9 prevention goals and to promote wellness," blah, blah,
10 blah, and that those that are safe and effective should
11 be implemented. You are not saying we don't make any
12 recommendations about implementation, but that we qualify
13 by saying that we also need to evaluate them.

14 DR. LOW DOG: I am saying that right now, right
15 now, practices without products, first of all, because I
16 think products need to be in here, that if you are just
17 relying on those that have actually been shown to prevent
18 disease, you are going to have a very short list of
19 implementation because -- I mean, you are. And I think
20 that you want to set the stage here that we want to
21 evaluate and we want to determine what role and for what
22 populations and to whom.

1 This is a big area. It is not just an adult
2 group found this if you are suggesting it is going to go
3 to kindergartners. I mean, I think that you have to have
4 some serious thought. If you really want to make an
5 impact on the Nation's health, you have to evaluate the
6 data that is there, and that will help drive research
7 questions.

8 I am not asking for research here; I am asking
9 for an evaluation of the data that is out there to
10 determine what role and for whom this may play a role.

11 SISTER KERR: But we did delete "safe and
12 effective," correct? Okay. But then if that statement
13 would become the recommendation, I think we would have no
14 actions because you are stopped at we are going to
15 evaluate.

16 DR. ORNISH: In other words --

17 DR. GORDON: Please, let Charlotte continue,
18 okay? This is not argumentation; this is learning from
19 one another about what our positions are. So please,
20 let's allow Charlotte to speak and then if others want to
21 speak, please feel free to speak. Dean, you can respond.

22 DR. ORNISH: No, I am not arguing with you at

1 all; I am just trying to clarify that we are not taking
2 that out, we are only adding the other. In other words,
3 it wouldn't take out the part about that we want to
4 utilize those that have been found to be safe and
5 effective. That stays. It is only adding that we also
6 want to evaluate the data that is there.

7 SISTER KERR: I didn't hear that. When
8 Tieraona read hers, she didn't leave that line in, or did
9 I misunderstand?

10 DR. LOW DOG: We can just include at the end
11 "those that have been proven safe and effective should be
12 integrated" or "should be put," whatever words you want
13 to put in there.

14 SISTER KERR: So then let's read it as we are
15 saying it because that is not how I understood it, and if
16 that is the case, it may be very fine.

17 DR. ORNISH: This is what I was reading. I was
18 trying to clarify. Again, I am not in any way trying to
19 be argumentative, at least not at this particular point.

20 "CAM practices and products should be evaluated
21 to determine their role in achieving the Nation's health
22 promotion and disease prevention goals and to promote

1 wellness throughout the life span. Those that are found
2 to be safe and effective should be utilized to help
3 achieve these goals."

4 DR. GORDON: So that is the recommendation.

5 DR. ORNISH: That is the recommendation.

6 DR. GORDON: Okay. I agree with Charlotte. I
7 didn't hear that in the beginning.

8 DR. ORNISH: Okay.

9 DR. GORDON: Okay. So that is the
10 recommendation as amended. Are we okay with that?

11 MS. AXELROD: Can I make a comment, Jim? The
12 way that it just read makes it sound like you are
13 evaluating it for safe and effectiveness, when, in fact,
14 you are evaluating these things to see their
15 applicability to the goals and objectives that are laid
16 out in H.P. 2010 and 2020.

17 So I think we need to clarify that we are not
18 looking to evaluate safe and effectiveness here; we are
19 looking to see which ones -- we are evaluating which ones
20 that have been shown to be safe and effective apply to
21 the goals and objectives.

22 DR. GORDON: That is a different question.

1 DR. ORNISH: I mean, you are saying they are --
2 I mean, in some ways the data would be evaluated to see
3 if they are safe and effective and then to further
4 evaluate them in the ways you are describing.

5 DR. GORDON: Tieraona.

6 DR. LOW DOG: That was actually a good
7 distinction. I guess you could say that -- I don't know
8 how to get around that, because at this point, you are
9 needing to determine the effectiveness. Safe and
10 effective CAM practices and products should be evaluated,
11 but if you had done that, then they are effective, you
12 already know, though you still may not know for the
13 specificity for what groups that we are talking about.

14 DR. GORDON: Joe.

15 DR. FINS: They have to be safe. Whether or
16 not they are effective for this purpose is the question,
17 right?

18 DR. ORNISH: Can you explain, again, Corrine,
19 what you are saying? I don't quite follow you.

20 MS. AXELROD: I think that what we want to look
21 at are safe and -- CAM products and practices that have
22 been found to be safe and effective should be evaluated

1 to determine their applicability to the Nation's goals
2 and objectives for health promotion/disease prevention.

3 DR. LOW DOG: Say it again.

4 DR. GORDON: That is a different recommendation
5 because that doesn't -- the recommendations that follow
6 may or may not fall under that one, right? Everybody see
7 there is a bit of a -- I mean the action steps may not
8 fall under that particular recommendation.

9 MS. AXELROD: I am just building on what
10 Tieraona had suggested about doing an evaluation, but
11 that we need to be clear this is not research, we are not
12 researching whether CAM products and practices are safe
13 and effective. We want to look at the CAM products and
14 practices that are safe and effective, how they can be
15 utilized to help achieve the Nation's health
16 promotion/disease prevention goals.

17 DR. ORNISH: I was only really talking about
18 changing the Action Step 1.1; in other words, to -- I am
19 okay with the Recommendation 1. I was referring to, when
20 you get into things -- particularly when you are talking
21 about kids where it is a real red-hot button for a lot of
22 people, what you teach my -- what are you going to teach

1 my kids in the school? You are going to teach them about
2 coffee enemas? You know, it is easy to distort this,
3 very easy.

4 I am just saying I am only arguing something
5 that seems to be pretty reasonable, which is that CAM
6 practices that have been proven to be safe and effective
7 -- excuse me -- that have been proven to improve
8 nutrition, promote exercise, et cetera.

9 DR. GORDON: I think we are okay with that. I
10 think, Dean, we are still on the recommendation, though.
11 I think 1.1 is a separate issue, and we haven't really
12 had discussion on that, although I suspect that your
13 addition is fine. The question is, what about the
14 recommendation as a whole?

15 SISTER KERR: I would like to respond again. I
16 think, again, I think leaving the recommendation as it is
17 and adding Dean's thing in 1.1 is a reasonable compromise
18 in the attempt to be more specific to protect people and
19 to just restate we want safe and effective. You cannot
20 get this, I think, perfect.

21 DR. GORDON: Joe.

22 DR. PIZZORNO: Another potential wording would

1 be to leave the current wording in Recommendation 1 as
2 written with this following addition. That is, "Safe and
3 effective CAM practices," insert "when appropriate should
4 be utilized," or "appropriate safe and effective CAM
5 practices."

6 DR. GORDON: Is that okay as an addition?

7 DR. ORNISH: I think that is great. So it
8 would say, "Safe and effective CAM practices, when
9 appropriate"? Is that your recommendation?

10 DR. GORDON: Julia first.

11 DR. PIZZORNO: "When appropriate."

12 MS. SCOTT: It looks like there is going to be
13 consensus on new language. I just wanted to say, I think
14 what has been suggested really addresses our concerns.
15 "Safe and effective CAM practices and products should be
16 evaluated to determine" -- not for safety and whatever
17 because we are assuming they are going to be safe and
18 effective -- "determine their role in achieving the
19 Nation's health promotion and disease prevention goals
20 and to promote wellness throughout." I mean, I think
21 that says it pretty specifically.

22 I also feel, after reading the actions, that

1 those actions are still appropriate to that
2 recommendation and I would second Dean's addition to 1.1.

3 DR. GORDON: Where are we? Charlotte, where
4 are you with this?

5 SISTER KERR: I heard two things. One, for
6 myself even, and again, this is just thinking, leave the
7 recommendation as it is, not with Tieraona's addition or
8 anything; and the second thing I am hearing is make
9 Recommendation 1 with this additional concept of
10 evaluating, determining their role, so forth, and just
11 stop there before we go into action steps. But I thought
12 we were kind of going back to leaving Recommendation 1 as
13 it was because safe and effective took care of it.

14 DR. GORDON: Joe? Joe is next, and then
15 Tieraona.

16 DR. FINS: I think the confusion might be that
17 a CAM product or service can be safe and effective on an
18 individual basis for a person, but the question is
19 whether or not it has an impact on a population, and
20 Healthy People 2010, 2020 is health services, broader
21 public health issues. So I think clearly, you know, it
22 is like aspirin is safe and effective; does it decrease

1 the morbidity from heart disease in a population?

2 So that is sort of the analogy, and I think
3 that needs to be clearer because I think we are confusing
4 safe and effective for an individual versus its impact on
5 a population vis-a-vis the articulated goals of the U.S.
6 Public Health Service.

7 DR. GORDON: Charlotte, I want to get a sense
8 of where you are with this, because Joe is addressing it
9 to you, and then Tieraona, if you want to add something.

10 SISTER KERR: Was I clear in the distinctions I
11 made, that there are two suggestions for recommendations?

12 DR. GORDON: Yes, there are two suggestions.
13 One has to do with evaluation and the other has to do
14 with leaving it the way it is.

15 SISTER KERR: Right.

16 DR. PIZZORNO: And mine, which is the third,
17 would be "when appropriate."

18 DR. GORDON: And the third would be
19 "appropriate." Thank you, Joe.

20 SISTER KERR: See, I think we are unclear.
21 Appropriate, to me, was under Action 1.1. So Wayne's
22 suggestion under Action 1.1 is appropriate CAM practices.

1 DR. GORDON: One-point-one, we already -- let
2 me just suggest that under the action step in 1.1, on the
3 floor is Dean's addition, which was "CAM practices that
4 have proven to improve nutrition," et cetera, et cetera,
5 et cetera, should be included.

6 DR. ORNISH: Can I make a suggestion that would
7 combine everything?

8 DR. GORDON: Let's go back to the
9 recommendation, though, if we could, okay, and get that
10 cleared up.

11 DR. ORNISH: There are actually four things on
12 the floor and I just want to suggest something as a
13 compromise that might meet everybody's needs just in the
14 spirit of moving forward and just tell me how you all
15 feel about this.

16 It would be something like, "CAM practices and
17 products should be evaluated to determine their role in
18 achieving the Nation's health promotion and disease
19 prevention goals. Those that are safe and effective
20 should be utilized," you know, just the way that it reads
21 right now. It would just be a sentence before that, and
22 then the second part of it would be just as it is.

1 DR. GORDON: Does everybody understand? Wait.
2 Before we go any further, does everybody understand what
3 Dean just said? Okay.

4 Tom, you have not had a chance to speak.
5 Please go ahead.

6 MR. CHAPPELL: I think we accomplish what I am
7 hearing we are striving to do with the existing
8 recommendation edited only by changing the concept of
9 "utilization" to "evaluation." I do think that that is
10 not asking for research, just discernment, and so "Safe
11 and effective CAM practices and products should be
12 evaluated to help determine their role in achieving the
13 Nation's health promotion and disease prevention," et
14 cetera.

15 DR. GORDON: What about the second sentence?
16 Dean says bring back --

17 MR. CHAPPELL: No, I don't think you need the
18 second sentence. Then you go into 1.1 to be edited --

19 DR. GORDON: Okay.

20 DR. ORNISH: I mean, I think that is fine; it
21 is just that some people thought there should be
22 inclusion about utilization, but that may be implicit in

1 the actions that we are recommending. So I can live with
2 that, too.

3 DR. GORDON: Wayne, you have your hand up.

4 DR. JONAS: I think that is fine; however, then
5 I think there should be, under the action statements,
6 there should be an item that specifically says who is
7 going to evaluate it or at least emphasizes the
8 evaluation component. There should be a federal panel or
9 there should be members of a task force that specifically
10 looks at Healthy People 2000, et cetera, to accomplish
11 these goals.

12 A lot of the other action steps under here
13 really are about utilization, and so if you drop the
14 utilization out of the overall recommendation, then they
15 don't kind of make sense under --

16 DR. GORDON: There is a 1.5. That action step
17 is there.

18 DR. JONAS: Oh. Sorry. Okay.

19 DR. GORDON: I understand your point.

20 Tieraona, go ahead.

21 DR. LOW DOG: I think part of my problem with
22 the utilization was that they have not been appropriately

1 evaluated, and I think you need to evaluate before you
2 utilize. So I feel strongly that the recommendations
3 should include an evaluation so that we can determine
4 what and to whom it is going to be, and maybe we could
5 move the -- if you think that is sufficient, the Healthy
6 People Consortium, or if you think that should be
7 something else, that that maybe should be moved up to 1.1
8 so it is the first thing that you read, is who is going
9 to do this.

10 DR. ORNISH: So, Tieraona, are you comfortable
11 with Tom's recommendation, then?

12 DR. LOW DOG: Yes. Absolutely.

13 DR. ORNISH: Okay. Can we all agree on that
14 and move forward?

15 DR. GORDON: All agree on what, Dean?

16 DR. ORNISH: On what Tom recommended, which was
17 simply to say that "Safe and effective CAM practices
18 should be evaluated to help determine their role in
19 achieving the Nation's health promotion," blah, blah,
20 blah.

21 DR. LOW DOG: Since it is a little confusing,
22 let's read things specifically out, because we are going

1 to perhaps have a question on "utilization" inclusion.

2 So please read it exactly as it was stated, please.

3 MR. CHAPPELL: "Safe and effective CAM
4 practices and products should be evaluated to help
5 determine their role in achieving the Nation's health
6 promotion and disease prevention goals and to promote
7 wellness throughout the life span."

8 DR. ORNISH: And implicit in that, because you
9 have a lot of these action steps, is that there is -- you
10 know, it doesn't just include evaluation, it includes
11 utilization is what we are recommending.

12 DR. JONAS: Then you have to eliminate about
13 half the action steps underneath there.

14 DR. ORNISH: No, no, no, I wouldn't eliminate
15 them; I would just say you don't have to necessarily
16 spell it out in the recommendation, because implicit is
17 that we are talking utilization as well as evaluation.

18 DR. JONAS: I think they are going to have to
19 be reworded, then.

20 DR. GORDON: I would like to add that second
21 sentence, because I think it is important, that you left
22 in, Dean. "Those have been proven safe and effective

1 should the utilized." I think it is necessary and that
2 it helps --

3 DR. ORNISH: I am okay with that.

4 DR. GORDON: -- to move things forward.

5 DR. ORNISH: That is fine with me.

6 DR. GORDON: So if you could read that fully as
7 you had it before, and I think that will satisfy us, and
8 then we can move ahead.

9 DR. ORNISH: Julia wants to say something
10 pretty badly.

11 MS. SCOTT: No. I had a recommendation how to
12 incorporate both of them. "Safe and effective CAM
13 practices and products should be evaluated to determine
14 their role in achieving the Nation's health promotion and
15 disease prevention goals. Those found to be safe and
16 effective should be utilized to promote wellness
17 throughout the life span."

18 [Applause.]

19 DR. GORDON: All right. Excellent. We are all
20 agreed? All right. Thank you.

21 DR. JONAS: Just a minute. Wait a minute.

22 DR. GORDON: Wayne?

1 DR. JONAS: I like that very much, except now
2 you need to cut out the first "safe and effective"
3 because you are talking about evaluate. So the very
4 first one, you just have "CAM products and practices" and
5 use it in the second half.

6 MS. SCOTT: Okay. I am all right with that.

7 DR. LOW DOG: I move that Julia read the
8 corrected amended version and that then we move to accept
9 it.

10 DR. GORDON: Right. Julia.

11 MS. SCOTT: That is what happens when you open
12 your mouth.

13 "CAM practices and products should be evaluated
14 to determine their role in achieving the Nation's health
15 promotion and disease prevention goals." Second
16 sentence: "Those found to be safe and effective should
17 be utilized to promote wellness throughout the life
18 span."

19 DR. GORDON: Okay. Are we okay with that?
20 Charlotte?

21 SISTER KERR: Yes.

22 DR. GORDON: All right. We are fine with this?

1 Great. Let's move on.

2 One-point-one. Dean has an amendment on the
3 floor for 1.1.

4 DR. ORNISH: Right.

5 DR. GORDON: Do you want to read that, Dean?

6 DR. ORNISH: Yes. Selected, inspected,
7 rejected and dejected. I just added four words. "CAM
8 practices that have been proven to improve nutrition,
9 promote exercise," blah, blah, blah.

10 DR. GORDON: Okay. Are we all right with that?
11 Yes?

12 MS. AXELROD: Can we say "have been shown"?

13 DR. GORDON: "Have been shown"? Okay. Do you
14 accept that amendment to the amendment?

15 DR. ORNISH: I didn't hear that part. I'm
16 sorry.

17 DR. GORDON: "Have been shown" as opposed to
18 "proven"?

19 DR. ORNISH: Sure. What is the difference?
20 Fine.

21 DR. GORDON: "Have been shown." Okay.

22 Let's move on to 1.2, please.

1 DR. ORNISH: One-point-two I have a problem
2 with just because, again, it just said all these things
3 should be incorporated in CAM practices. Which CAM
4 practices? For whom? You know, again, it is way too
5 broad for me.

6 DR. GORDON: How would you like to amend it to
7 make it --

8 DR. ORNISH: You know, the same way that we had
9 before. "CAM practices that at a minimum have been safe
10 and effective." I don't know. Anybody -- "or should
11 evaluate and -- you know, I like the same pattern that
12 Tieraona is talking about.

13 DR. GORDON: One at a time.

14 DR. ORNISH: Okay. So I think "Federal
15 agencies such as," blah, blah, blah, "should evaluate CAM
16 practices and those that have been found to be safe and
17 effective should be utilized" or "should be incorporated
18 into national guidelines on wellness."

19 Tieraona, does that work for you?

20 DR. GORDON: All right. That is an amendment
21 on the floor. George, did you have something to say?
22 Tieraona?

1 DR. LOW DOG: I think we are close, but again,
2 I would say that these agencies should evaluate CAM
3 practices to determine their role in wellness and
4 prevention for children or whatever.

5 My concern with this whole thing, and I just
6 need to state it right now, is that, to me, it sounds
7 like, when you read that, we are just going to
8 incorporate all these CAM practices into wellness and
9 prevention practices, and we just haven't a clue,
10 especially in pediatrics, what is going to affect
11 wellness and prevention.

12 DR. GORDON: Okay. I think we have all
13 accepted that. What we are looking for is the specific
14 wording, and what you are saying has to do with
15 evaluation, so I would like you to repeat it.

16 DR. LOW DOG: "Should evaluate."

17 DR. ORNISH: Tieraona, I completely agree with
18 what you are saying, so why don't you say how you would
19 like it to read.

20 DR. LOW DOG: "Federal agencies such as Health
21 Resources and Services Administration, the Centers for
22 Disease Control and Prevention, and the Department of

1 Agriculture, should evaluate CAM practices and products,"
2 I guess, "to determine their role in enhancing wellness
3 and" -- is that "disease prevention in children"?

4 DR. GORDON: The issue here, I think, and
5 Corrine can correct me, has to do with national
6 guidelines. So I think that is what needs to be
7 addressed.

8 DR. LOW DOG: Well, yes. I mean, you need to
9 --

10 DR. GORDON: "And should evaluate their
11 relevance to national guidelines."

12 DR. ORNISH: "To evaluate their effectiveness
13 in order to determine their role in national guidelines."

14

15 DR. GORDON: Is that okay?

16 DR. LOW DOG: I don't like the recommendation.
17 I think it is premature. I think that you need to go
18 through the very first recommendation, which is the
19 evaluation of these and how they fit into promotion and
20 disease prevention, and then you start thinking about
21 national guidelines.

22 DR. GORDON: I think the issue here, and I want

1 to try to make it clear -- maybe Corrine can clarify it
2 even more -- is that there are things that are underway,
3 and the evaluation is a perfectly legitimate step, but we
4 are trying to indicate -- I think the thrust of these
5 recommendations is to say, okay, there are efforts that
6 are underway, how do we get these approaches, those that
7 are safe and effective, considered for inclusion in these
8 activities that are underway?

9 DR. ORNISH: What activities are underway?

10 MS. AXELROD: That is correct, Jim, and I think
11 this recommendation reflects the very strong sentiment
12 that has been expressed by the Commission to address the
13 children, the obesity, the eating habits, the influence
14 of everything that is going on with children, and that
15 there are existing guidelines -- this was described in
16 detail in the text -- that the Health Resources and
17 Services Administration has developed. They are actually
18 working with the American Academy of Pediatrics right
19 now. The Centers for Disease Control and Prevention has
20 guidelines that are used in every single school in this
21 country.

22 So the idea here was to not do something

1 completely out of, you know, what is existing, but to
2 build on that to incorporate where things have been, you
3 know, shown to be safe and effective, may be applicable,
4 and should be looked at to see if they can be built into
5 the existing guidelines.

6 DR. GORDON: Conchita is next, please.

7 DR. PAZ: Then what we might say is to evaluate
8 and then incorporate those to be determined to be
9 beneficial into the national guidelines.

10 DR. GORDON: Other comments on this? Yes,
11 Wayne and then Tieraona, okay?

12 DR. JONAS: I have a suggestion that might help
13 manage this a little bit. If the overall recommendation
14 involves this two-part aspect, "evaluate and those proven
15 to be safe and effective," or whatever the terminology
16 that would be used, "should be then utilized or
17 incorporated," then the first action step really should
18 be how do we want to evaluate that?

19 We talked about 1.5 doing that, although I
20 think it needs to be reworded to do that. But the
21 Healthy People Consortium now incorporates all of these
22 agencies that we just talked about. They are involved in

1 developing this plan.

2 So if we move 1.5 up to the top and said, "The
3 Healthy People Consortium should evaluate the role of
4 CAM" or "the potential role of CAM for the 10 leading
5 health indicators and develop strategies for the use of
6 proven CAM practices in this areas," and then in the
7 subsequent action steps in which we are saying
8 incorporate, incorporate, incorporate, put "proven" in
9 front of "CAM" every single time so that it is contingent
10 upon what comes out of the evaluation component that is
11 number one. Then that follows from the original
12 recommendation which has the two parts. It says where we
13 want the evaluation to occur -- within Healthy People
14 Consortium -- and then the rest of that is all contingent
15 upon whether what they had discovered may be useful in
16 that.

17 Anyway, end of suggestion.

18 DR. GORDON: Thank you.

19 DR. ORNISH: Wayne, I like your suggestion a
20 lot. At the same time, I do think it is worth being a
21 little redundant by putting under each action step that
22 we evaluate and then those that have been found to be

1 effective are implemented because these may be taken out
2 of context and I think it is just useful to be a little
3 redundant here.

4 DR. JONAS: Okay. I have no problem with that
5 if we can figure out how to do that in an expeditious
6 manner.

7 DR. GORDON: The question is, can that be
8 formulated right now, or do you want to take some time at
9 lunch to formulate the correct --

10 DR. ORNISH: I think it is pretty simple,
11 really, I mean if I understand Wayne correctly, and,
12 Tieraona, if you are comfortable with it. Basically
13 Action Step 1.1 would be to take 1.5, move it up to the
14 beginning, and then how would you strengthen 1.5, Wayne?

15 DR. JONAS: This again is saying strategies to
16 encourage the use of CAM practices, and what we want to
17 say is Healthy People Consortium should evaluate the role
18 of CAM's potential for the 10 leading health indicators
19 and develop strategies for the use of proven CAMs or --

20 DR. ORNISH: I think that sounds great.
21 Tieraona, what do you think?

22 DR. JONAS: Or you could even eliminate the

1 strategy issue if you just want to be clear about -- take
2 the Healthy People Consortium and ask them to do an
3 evaluation component. Then that becomes your standard
4 for determining what has been demonstrated to be useful,
5 and then that is inserted into each of these subsequent
6 recommendations.

7 DR. ORNISH: I think that is great. Tieraona,
8 how do you feel about it?

9 DR. GORDON: Let me tell you, the only concern
10 that I have, immediate concern relates to 1.1, which I
11 don't want to wait for the -- 1.1 as amended is "CAM
12 practices that have been proven to improve nutrition." I
13 think this is one that is important to me, it was
14 extremely important to Bill Fair, and I feel it is very
15 important to the Commission that we begin to move ahead
16 with this right now and not wait for the Healthy People
17 Consortium.

18 DR. ORNISH: I am okay with that.

19 DR. JONAS: The Healthy People Consortium, I
20 mean, we are not asking them to prove it; we are asking
21 them to evaluate it. So this actually wouldn't take that
22 long in the scope of things. One could say --